

1

SOCIETY OF TOXICOLOGY

2

MARCH 10-14, 2013

3

San Antonio, TX

4

5 **Course: Toxic Effects of Metals**

6

7 **Presentation: Introduction**

8

9 **Speaker: Dr. Michael Waalkes**

10

11 DR. WAALKES: Okay. If we could get going here. I'd
12 like to welcome you to SOT's annual meeting. I am
13 actually the acting Continuing Education Committee
14 Liaison because the liaison could not make it. His
15 trip was cancelled because of the rescission. I'm also
16 the council contact to Continuing Education. My name
17 is Michael Waalkes. I'm also a speaker. So there you
18 go. A lot of hats here.

19

20 We have a very exciting course planned for you
21 today. I hope you'll appreciate it. It is AM07 Toxic
22 Effects of Metals. And if you're not supposed to be
going to this city, you should be on a different plane.

866.488.DEPO

www.CapitalReportingCompany.com

1 So let me remind you, please, to turn off your
2 phones or put them on mute or vibrate, or whatever you
3 do to keep them quiet. And please fill out the survey
4 that will be e-mailed to you in the next couple of
5 days. We really put a lot of credence into that and
6 help formulate our next Continuing Education courses.

7 And on a good side here, good note here, we'll
8 have a coffee break at 10 to 10:30 right outside here.
9 So that's the housekeeping liaison stuff.

10 Now, let me briefly introduce the topic here
11 for you. And, again, I'm Michael Waalkes, and I'm
12 introducing this topic.

13 Metals are widely used or widely distributed
14 in the natural environment. They're not biodegradable
15 and they're very persistent. And there's been global
16 dispersion because of human use. Humans have used a
17 lot of different metals for thousands of years, and in
18 fact it was recorded back as far as 4000 BC that nine
19 metals were in active use by man. But most metals have
20 been discovered and used since the onset of the
21 industrial revolution. And so, human exposure to
22 metals is essentially inevitable. But metal use is a

866.488.DEPO

www.CapitalReportingCompany.com

1 key to human civilization and the progress of human
2 civilization. They're intensely utilized in modern
3 society. You take metals away from the chair that
4 you're sitting in, you would be sitting on the floor
5 essentially. They serve many indispensable functions.
6 Most are rarely recycled. There's clearly notable
7 exceptions, and we're getting better at this as we go
8 along. The form of metals change, but the basic unit
9 is neither created nor destroyed by human endeavors,
10 and this is important to keep in mind. They just move
11 around and typically they concentrate in the biosphere.

12 And here's an example of human activities in
13 toxic metals in the environment. This is lead. Lead
14 was used in increasing amounts at the onset of the
15 industrial revolution and then we added it to gasoline
16 and it spiked up. We've taken it out and it started
17 going down. This is lead in Greenland Ice. So you can
18 see that these metals are very persistent in the
19 biosphere.

20 Now, they pose serious risks to human health,
21 and that's what the rest of the day or rest of the
22 morning will be about. Many metals are on tops of the

866.488.DEPO

www.CapitalReportingCompany.com

1 CDC's list of priority hazards to humans. Arsenic is
2 consistently number one. Lead is up there. Mercury,
3 cadmium, hexavalent chromium. So we have a real
4 murderer's row here. Metals as toxicants have caused
5 major poisonings in human history, including Minamata's
6 disease, which was a very nasty neurological disease
7 from eating fish contaminated with methylmercury from
8 industrial wastewater, ouch-ouch disease, which is how
9 this is translated to English, which was severe
10 osteoporosis by cadmium from contaminated rice water,
11 and lead in the Roman Empire, which probably led to its
12 downfall, which was one of the first food additives
13 they added to cheap wine to make it taste sweeter.
14 Natural arsenic in well water, which is a current major
15 issue in Asia where we have 1.5 million people being
16 poisoned and getting cancer.

17 And that's it for me. And I don't think there
18 will be any questions, so I would like to introduce
19 Mike Hughes who will talk about general metals
20 toxicology. Thank you very much.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

SOCIETY OF TOXICOLOGY

MARCH 10-14, 2013

San Antonio, TX

Course: Toxic Effects of Metals

Presentation: Essentials of Metals Toxicology

Speaker: Dr. Michael Hughes

P R O C E E D I N G S

DR. HUGHES: Thank you, Mike. Again, my name is Mike Hughes. If you have any questions and you don't have time to ask them today, my e-mail address is right there. So just feel free to contact me.

Here's our outline. We're going to talk a little bit about the physical and chemical properties, how metals are essential. ADME is the absorption, distribution, metabolism and elimination. Say a few words about toxicity mechanisms and things that can influence its toxicity, and a few words about chelation.

1 Metals have physical and chemical
2 characteristics. The general elemental forms just have
3 a metallic luster; they can conduct electricity, and
4 heat, and they're malleable and ductile. "Malleable"
5 means that you can take a hammer to them and just pound
6 them and they'll flatten out, they won't break apart.
7 "Ductile" means that you can form them into wires such
8 as copper wires. But for toxicology, we're most
9 interested in the chemical properties.

10 The general definition of a metal is that
11 under biological conditions it is an element that can
12 lose one or more electrons. Metals may also form
13 basic oxides. If there's one thing you get out of this
14 talk today, you always want to remember the term
15 chemical species. People tend to talk about in general
16 about metals and they'll just throw out the words, lead
17 and arsenic. If you're reviewing papers or talking to
18 your colleagues about metals, ask them what the
19 chemical species is of the metal, because they may
20 differ in toxicity. The definition of chemical species
21 is the specific form of an element. You want to
22 include the isotopic composition, electronic or

866.488.DEPO

www.CapitalReportingCompany.com

1 oxidation state, and its complex molecular structure.
2 Examples are chromate and cadmium chloride.

3 Here are some terms called metalloid or
4 semimetal, and this is an element that has a mixture of
5 both metal and non-metal properties. Now, depending on
6 who you read, there are different metalloids. The most
7 familiar ones are arsenic, selenium and germanium. You
8 might hear terms called heavy metals. I have no idea
9 what a heavy metal is. Here I show a chart of the
10 periodic table, and you can see where the heavy metals
11 are located. It's better to talk about your metal in
12 specific terms. Heavy metals generally describe
13 transition metals, but there are over 50 transition
14 metals. There's an interesting article that I included
15 in the references by Duffus., He is critical of the
16 use of the term "heavy metals." He just doesn't like
17 it, but he's a chemist, so --

18 Just a little background information here on
19 this slide. Remember, we have orbitals where you find
20 electrons. There are different orbitals, s, p, d, f,
21 g. The s orbitals, - there are different energy
22 levels, and there's one to two electrons per orbital.

866.488.DEPO

www.CapitalReportingCompany.com

1 Here we have s1 s2, p has three orbitals, x, y, z; d
2 has 5. I only have 3 shown here; f has 7. So just
3 recall from your basic chemistry, the electronic
4 configuration for hydrogen is 1s1. The second "1"
5 being the electron. Chromium goes out to 3d6. And
6 then for things that are more complex, instead of
7 extending out this whole configuration, you can go down
8 to the noble elements, write for example, Xenon, and
9 then just fill out the rest of the electronic
10 configuration.

11 Here's the periodic table. I think the most
12 striking thing is how many metals there are in the
13 environment. I have placed an X on the non-metals. I
14 have circled in blue what people say are the
15 metalloids. In some readings you're going to see
16 boron is a non-metal. It depends on who you read.
17 Silicon, arsenic is definitely one. I have here a
18 question mark for selenium, but if you talk to most of
19 the people that work with selenium, they're going to
20 tell you it's a metalloid. But some of these still are
21 common or commonly accepted as metalloids or sometimes
22 they're -- like the ones in the dash lines are --

866.488.DEPO

www.CapitalReportingCompany.com

1 there's a big question. You can break up the periodic
2 table into different blocks. This would be the S block
3 and includes the alkaline metals and alkaline earth.
4 These would have one electron in the S orbital. S
5 orbital is completely filled (in the alkaline earths).
6 You have the P block which includes metals like gallium
7 and lead. Then you have the D block. These are the
8 transition metals. Remember I was talking about people
9 calling these heavy metals, but you can see there are a
10 whole lot of metals here, so it's better to talk about
11 specific species. Then you have the D block. This is
12 where these are partially-filled electrons in the D
13 block for this group. And then the F block here, the
14 lanthanides and actinides, they have partially-filled F
15 orbitals. The actinides are naturally radioactive.

16 You're going to hear some talks about
17 oxidation number or state. That's the charge of an
18 atom within an ion or molecule would have if the whole
19 ion or molecule was composed entirely of ions.

20 So a couple rules. Basically the free element
21 itself has an oxidation state of zero. For example,
22 mercury vapor. The hydrogen is suggested to have one

866.488.DEPO

www.CapitalReportingCompany.com

1 or plus one. Oxygen is negative two. If you have a
2 neutral compound, the whole thing is zero, that is, the
3 whole molecule itself. So again, element manganese is
4 zero. Here we have permanganate. The whole molecule
5 is minus one. That's the overall charge. From our
6 rules here, oxygen is minus two. We have four minus
7 twos; so four times minus two is minus eight, and we
8 know the whole charge is minus one, so manganese is
9 seven.

10 There's some nomenclature you'll hear with
11 these endings on the name of the metals or the -- the
12 molecule. You have "ous" or "ic". "ous" would be the
13 lower oxygenation state. "ic" would be higher, so for
14 example, ferrous/ferric, mercurous/mercuric; and then
15 "ite" and "ate". These are arsenite and arsenate. So
16 arsenite is a lower oxidation state.

17 Some properties, metal ions lose electrons
18 from their outer shells. It's easier to form a metal
19 ion in a liquid than a gas and that's because of the
20 energy of hydration. Cations can form a sphere of
21 water around them with the dipole from the water to
22 take out this -- to lessen the charge here. It's kind

866.488.DEPO

www.CapitalReportingCompany.com

1 of interesting; you can get spheres of water molecules
2 all around a cationic metal. It may go out to several
3 spheres.

4 We said the metals lose electrons, but not
5 equally -- it's not across the board the same gain or
6 loss of energy. For some metals, it's easier to lose
7 electrons or gain than in others.

8 For metals basically to be toxic, they need to
9 be soluble. Solubility is a major factor influencing
10 the availability and absorption of metals. And it
11 depends on your media. For example, water versus
12 biological fluid, pH can affect it, the metal oxidation
13 state, presence of other ions. Generally the soluble
14 inorganic compounds include the nitrates and the
15 chlorides and others . Some are insoluble such as
16 the phosphates and carbonates.

17 Metals can form ionic and covalent bonds, and
18 you have a whole host of different types of compounds.
19 For example, you have lithium hydride, silver
20 chloride, calcium chloride. Metals can form complexes
21 such as cisplatin and calcium EDTA. EDTA is a
22 chelator, as you can see it coordinates the metal here.

866.488.DEPO

www.CapitalReportingCompany.com

1 You have the organometallic compounds like
2 dimethylmercury. MMT is a gas additive. Arsenobetaine
3 is an interesting arsenic compound that you find in
4 shrimp. I guess I'll digress here, talk about chemical
5 species.

6 A colleague of mine, we were working with
7 arsenic in our lab. She had a physical at work and the
8 physician decided they were going to check her urine
9 because we were working with arsenic. It came back
10 that she has been exposed to arsenic. Checking urine
11 is a biomarker of exposure for arsenic. They looked at
12 the total arsenic. So of course the safety people were
13 worried and they started asking her a couple of
14 questions. Well, it turned out two days before she had
15 her physical, she had shrimp. What happens is that
16 shrimp is loaded with arsenobetaine, and you absorb it,
17 and then you pee it right out. They did total arsenic
18 levels. That's why it's really important that you talk
19 about chemical species. If they would have speciated
20 her urine, then they would have found, oh, wow, we have
21 a lot of arsenobetaine. It's relatively non-toxic
22 compared to the inorganic arsenic.

866.488.DEPO

www.CapitalReportingCompany.com

1 Some metal-containing biomolecules, we have
2 the metalloporphyrins such as hemoglobin and
3 cytochromes. Proteins can carry metals: Transferrin,
4 ferritin. Metalloenzymes: Superoxide dismutase. This
5 is a very important enzyme. It degrades superoxide.
6 And there are different metals found with different
7 superoxide dismutases.

8 Metals are essential for human life. This is
9 iron, zinc, copper, manganese, molybdenum, and cerium.
10 You might read that chromium is too. There are some
11 questions now if chromium is or is not an essential
12 metal. The metals are important in a biochemical
13 process. Basically, if you take it out of the diet,
14 some functional or structural abnormality will occur.
15 If you put it back in the diet, it will revert back to
16 the normal status.

17 So here's a chart . The X axis is the total
18 dairy intake. You have always some normal homeostatic
19 level. If you decrease or are deficient, you start to
20 have a risk of toxicity. Examples of deficiency for
21 metals are anemia for iron; selenium Keshan disease;
22 and zinc, poor immunity and growth failure. But if you

866.488.DEPO

www.CapitalReportingCompany.com

1 get excessive metals, again, you have risks; you can
2 get GI distress and cirrhosis for iron, and GI distress
3 hematuria and jaundice for copper.

4 Let me say a few words about the disposition
5 of metals. Metals can be in a vapor phase. And I
6 believe -- you might have a -- on the -- below the
7 temperature line, you might have the phrase "particles
8 in a gas". Just please strike that out. That's a typo
9 on my part.

10 A vapor is a substance in a gas phase at a
11 temperature below its critical phase. Examples are
12 mercury vapor and nickel carbonate. These are absorbed
13 through passive diffusion. Some determinants are
14 water solubility, tissue reactivity, blood-to-gas
15 partition coefficients. There is this illness called
16 metal fume fever. It happened with welders if they're
17 not in a well-ventilated area. The metal vapors can
18 accumulate and react with oxygen to form a metal oxide,
19 and they can get acute flu-like symptoms.

20 Metals are also found in aerosols. An aerosol
21 is a suspension of solid or liquid particles in a gas.
22 Examples, tobacco smoke, you can find these particles,

866.488.DEPO

www.CapitalReportingCompany.com

1 lead, nickel, arsenic, coal fly ash also has metals.
2 Particle size is a really important determinant of
3 where the particles go into the lungs. The smaller the
4 size of the particle, deeper into the lung they'll
5 penetrate. Water solubility is really important,
6 because if it's highly water soluble the particles are
7 going to be up in the nasal terminates or perhaps the
8 bronchus. The mechanisms of particle deposition are
9 impaction, sedimentation and diffusion.

10 The particles are cleared from the lung. You
11 can sneeze or cough them out, and that would be from
12 the upper respiratory tract. If they're in the areas
13 where there is cilia, you can have cilia transport and
14 they're ingested. They can be phagocytized by
15 macrophages. They could be dissolved and be absorbed
16 into your systemic system, or they could also enter
17 the lymphatic system.

18 Metals are exposed to the skin, so there's a
19 potential for dermal absorption for these elements or
20 elemental compounds. Here's a picture of skin. Just
21 remember that it has the epidermis, the dermis and the
22 subcutis area. The epidermis has the stratum corneum,

866.488.DEPO

www.CapitalReportingCompany.com

1 which are dead cells filled with keratin.

2 Dermal absorption is a process of passive
3 diffusion, and one of the determinants is the site of
4 exposure. For example, the scalp is going to be more
5 permeable than the palms of your hands. Chemical
6 vehicle properties, something is ionized it's less
7 likely to be absorbed through the skin.

8 Vehicle properties that can damage the skin or
9 make the chemical more soluble. Skin age and health.
10 Another determinant is species. Mouse skin is
11 generally more permeable than human skin.

12 Quite a while ago I had a post-doc in the lab,
13 Mohammad Rahman, and we were looking at the in vitro
14 dermal absorption of a series of methylated arsenicals.
15 This is MSMA, it's an herbicide. It was labeled here
16 in this carbon group right here, C14. We used mouse
17 skin in an in vitro system, and the 24-hour exposure
18 using what's called the Bronaugh system. The day
19 before the experiment, we cut the hair off the skin.
20 The next day we sacrificed them, and we prepared skin
21 discs. You just have a punch. And then you mount them
22 in these Teflon diffusion cells and pump receptor fluid

866.488.DEPO

www.CapitalReportingCompany.com

1 below it. That's supposed to act like the systemic
2 circulation.

3 You put the chemical on top of the skin and
4 you wait 24 hours. The receptor fluid flows into
5 scintillation vials in a fraction collector.

6 Then at 24 hours we wash the skin to remove
7 unabsorbed chemical. We quantitate the radioactivity
8 in the skin and what penetrated through. As you can
9 see, most of the chemical was washed off from the skin,
10 didn't penetrate. A small amount was in the skin. A
11 little bit less was in the receptor fluid. But it did
12 diffuse through the skin.

13 This is for GI absorption and internal. What
14 I mean by "internal" is what occurs once it's in the
15 systemic circulation, because it pretty much is the
16 same thing. Well, first of all, there's passive
17 diffusion, and the determinants are lipid solubility
18 and ionization. Just remember that the -- people may
19 think metals are always water soluble, but it's not
20 because as I showed before with the -- there's
21 methylated, there's alkylated, highly methylated
22 metals. That's the one thing you should consider, or

866.488.DEPO

www.CapitalReportingCompany.com

1 remember. And you have these things call
2 aqua(glycero)porins. This is a membrane through the --
3 a channel through the membrane, plasma membrane right
4 here. They transport small uncharged solubles, water
5 and glycerol, but these compounds -- like this would be
6 arsenite and ionized. And antimony and silicon have
7 similar structure with glycerol, so they're able to go
8 through these aquaporins. This is from a study from
9 Mandel, et al, and they isolated Leishmania cells from
10 people who had been infected with them. This was in
11 India. It's a protozoan. They found that some of
12 these Leishmania protozoan are sensitive to antimony,
13 it's a standard treatment, and some are resistant.
14 What they found is that the resistant one, they didn't
15 have the mRNA for the aquaporins. They transfected them
16 with additional AQP1. As you can see the amount of
17 antimony that goes into the cells increased. The
18 resistant one doesn't have the mRNA for this channel.
19 As you can see it has less antimony compared to the
20 sensitive one. Then they transfected them with the
21 AQP1 and the antimony amount increased by diffusing
22 into the cells. I should say also these go both ways.

866.488.DEPO

www.CapitalReportingCompany.com

1 Some of these go in and some are out, as far as the
2 transport.

3 This is the really fascinating part about the
4 absorption of the metals, is there's these
5 transporters. You have divalent cation transporters
6 for metals like iron and manganese. A lot of these I
7 guess you can call them promiscuous because there may
8 be one for iron, but these other divalent cations can
9 also be transported by these transporters. Some are
10 proton symporters. You have zinc transporters, copper,
11 ATP-driven transporters. Then you have endocytosis.
12 This is when the plasma membrane encircles a metal, a
13 particle and envelops it to make this vesicle that goes
14 within a cell.

15 This is what's known about iron absorption into
16 the enterocyte. Now, the absorption of iron is very
17 highly regulated, because iron can go through the
18 Fenton reaction, which forms hydroxy radical. I'm
19 going to talk about that in a few minutes. But the
20 body highly regulates how much iron is absorbed and
21 also how it's stored within you.

22 Here's an enterocyte. This would be the

866.488.DEPO

www.CapitalReportingCompany.com

1 intestine and over here would be the systemic
2 circulation. There are basically three types of iron
3 that would be presented to the enterocyte, heme iron,
4 ferritin, and the iron cation itself. Heme iron is
5 absorbed through a folate transporter. Then
6 hemoxygenase can degrade it to ferrous iron. Iron is
7 taken up by receptor-mediated endocytosis. And then
8 somehow, it's not known, but it can be degraded to
9 ferrous iron. Then for iron 3, it has to be reduced by
10 a reductase first, and it's actually the ferric iron.
11 This is a divalent metal cation transporter and along
12 with hydrogen is taken up. Then you have this pool of
13 ferrous iron. It could be stored as ferritin, or it
14 can be transported out by this ferriportin transporter.
15 It is hephaestin which oxidizes the ferrous iron to
16 ferric form. Then this binds with apoferritin in the
17 circulation to iron transferrin. Also iron can bind to
18 small molecules. So if you see NTBI it is
19 non-transferrin bound iron. That's what NTBI -- if you
20 see that on medical reports, if you go to get a
21 physical and they check your blood for iron.

22 Iron is distributed. Transferrin, a blood

866.488.DEPO

www.CapitalReportingCompany.com

1 glycoprotein, can bind several irons in the plus 3
2 oxidation state. It's primarily for iron, but it can
3 bind these. Ferritin's a globular protein, and it's
4 more of a storage form of iron. It stores a whole lot.
5 It's kind of interesting how much iron can be stored.
6 It's not like one or two molecules. It's really a
7 whole lot. Albumin can bind divalent metals and
8 metallothionein is an inducible cysteine rich protein,
9 binding things like cadmium, zinc, and mercury.

10 Metals go to different sites within the body.
11 For example, mercury vapor and methylmercury go to the
12 brain. They cross the blood brain barrier, oxidize
13 into the mercuric form. Monomethyl mercury is
14 demethylated and oxidized to the same form. Lead goes
15 to skeleton. Cadmium, kidney and liver. Arsenic goes
16 to -- it likes sulfur groups, so distributes to the
17 skin, nails and hair. If you're overexposed to arsenic
18 or thorium, you can get these things called lease
19 Mees' lines. It's also in the literature, -that
20 Napoleon was murdered or poisoned with arsenic. he
21 died in 1821.They found arsenic in his hair, but other
22 people analyzed the hair too and they don't find

866.488.DEPO

www.CapitalReportingCompany.com

1 arsenic. So it's just a big question mark. It's kind
2 of interesting how the death of Napoleon, there's a
3 big argument about it. It's interesting about the
4 history of arsenic, that's all. I should say that the
5 coroner said he died from stomach ulcers, and ended up
6 being stomach cancer.

7 The metals are metabolized. They can be
8 reduced and this is non-enzymatic. For example,
9 chromium 6 can be reduced to chromium 3 by ascorbic
10 glutathione cystine. Arsenic can be reduced by --
11 5-glutathiones to this tri-glutathione complex, and it
12 goes from pentavalent to trivalent form.

13 Now, for humans, the reduction is not well
14 characterized for metals. It's really characterized
15 for bacteria -- well, that's where it's really
16 characterized pretty well.

17 A colleague of mine at EPA, David Thomas,
18 he's been looking at the metabolism of arsenicals by
19 the intestinal microbes. They've been using mouse
20 cecum. Now, I have a picture here of a human. I just
21 was looking for a picture of intestine. So just
22 remember, their study, they used mouse cecum.

866.488.DEPO

www.CapitalReportingCompany.com

1 But anyway, in the intestine we have a
2 reducing environment, there's sulfur and methyl groups,
3 and so they're finding all these sulfur compounds in
4 their incubation. They don't know if these are
5 absorbed or these are just eliminated. And there's
6 really not a lot known about these, their toxic
7 properties of these thiolated arsenicals.

8 Metals are methylated in the environment.
9 Arsenic, bismuth, cadmium, et cetera. Some are even
10 higher alkylated, like dimethylmercury. Lead is
11 higher alkylated. What's known in the humans is the
12 arsenic, bismuth and selenium are biomethylated. I
13 think what's best characterized is the methylation of
14 arsenic. About 90 years ago or so Frederick
15 Challenger proposed a scheme called oxidative
16 methylation, and he was working with a fungus, and they
17 were able to characterize or isolate some metabolites,
18 but not all. He came up with a scheme. His proposal
19 is that if you start with arsenate, which is
20 pentavalent arsenic, it's reduced by two electrons to
21 arsenite, and then somehow it's methylated and then
22 this is oxidized to this momo methyl intermediate which

866.488.DEPO

www.CapitalReportingCompany.com

1 is pentavalent. So you have oxidative methylation.
2 Then this can be further reduced to a trivalent
3 intermediate. Right here people talk about MMA3 and
4 MMA5 at the meeting or DMA3 and DMA5, if you go to the
5 arsenic sessions. This would be MMA5 and MMA3. MMA3
6 is methylated and oxidized at the same time to this
7 dimethyl arsinic acid. This would be DMA5 reduced to
8 DMA3, and methylated to trimethyl arsine oxide.

9 So the first reduction, it's not really known
10 what happens within the human body or in vivo. There
11 Hungary, and Vas Aposian, they found that in vitro,
12 this polynucleotide phosphorylase can reduce arsenate
13 to arsenite. And Zoltan Gregus has done further a lot
14 of nice studies looking at this reduction. he's found
15 that glyceraldehyde phosphate dehydrogenase is able to
16 do this too, this reduction.

17 Now, my colleague at EPA, David Thomas, his
18 laboratory found that this methylation is catalyzed by
19 an enzyme called arsenic +3-methyltransferase, which is
20 a very interesting enzyme. No one knows what its
21 natural function is, but it catalyzes the methylation
22 pretty well, and it's in a lot of different species,

866.488.DEPO

www.CapitalReportingCompany.com

1 but it also has a lot of different polymorphisms in
2 that you can get different ratios of the methyl and
3 dimethyl species.

4 So if you start with -- and it does have some
5 reductive properties, because if you start with
6 arsenite, you'll go through this whole pathway.

7 So AS3MT, starting with arsenate you do get
8 methylation and reduction.

9 Now, pre-2000, it was considered this pathway,
10 which still wasn't known, David found this, I think he
11 reported it in 2001, that this was a detoxification
12 mechanism. That's because these intermediates, --
13 this would be MMA3 and DMA3 were proposed
14 intermediates. No one had detected them in any kind of
15 biological fluid such as urine or even in vitro,
16 because they were pretty unstable and the analytical
17 techniques weren't available. They were able to detect
18 these pentavalent, methyl and dimethyl species. And
19 these are relatively much less toxic than arsenite.
20 Arsenite is really very potent acutely, but then
21 about -- a little bit before -- about 2000 there were
22 reports, I think Vas Aposhian's lab with, I think it

866.488.DEPO

www.CapitalReportingCompany.com

1 was Chris Lee, they were able to detect MMA3 in the
2 urine. Further studies show that this is almost as
3 equally toxic as arsenite.

4 It suggested then that this is actually a --
5 this is a double edge sword, because you form these
6 pentavalent forms that are excreted very quickly, but
7 you also form these trivalent intermediates, these
8 methylated intermediates which are fairly potent
9 toxicants. And Sam Cohen's lab out in Nebraska has
10 shown that this is a rat bladder carcinogen. This is
11 the form that is carcinogenic in rat bladder.

12 And there's -- finally, there is an
13 alternative pathway, and that's reductive methylation.
14 That's because the trivalent arsenics can bind to
15 sulfur groups in proteins. What would occur is that
16 arsenite would be bind to a sulfur group, and that is
17 then methylated, instead of going through this pathway
18 of oxidation, reduction and methylation.

19 Then just to show you the importance of this
20 enzyme, David developed a knockout mouse. It's a
21 viable animal. We had radiolabelled arsenate and
22 administered it to the mice and monitored them in a

866.488.DEPO

www.CapitalReportingCompany.com

1 whole body counter over a 96-hour period. The closed
2 squares are the knockout mice, and the open squares are
3 the wild type. So as you can see there's very
4 little -- this is retention fraction of the initial
5 dose, and here's time, and the mice eliminate the
6 arsenic, the radioactivity really quickly, whereas
7 these mice retain it.

8 Basically methylation does facilitate
9 excretion, but you do form these trivalent methyl forms
10 which are potentially pretty toxic .

11 The methylated metals can be demethylated.
12 The intestinal microbes can demethylate methylmercury,
13 so this is excreted. It can undergo enterohepatic
14 circulation, but the microbes can demethylate it and
15 forming inorganic mercury which is excreted in feces.
16 The microflora in preweaned infants intestines is
17 different, so they have less demethylation and they're
18 susceptible to enterohepatic circulation with
19 methylmercury.

20 Methylmercury can be demethylated, organolead.
21 This is a CYP450 reaction. There's some evidence
22 that, tetramethyl lead, the rate of demethylation is

866.488.DEPO

www.CapitalReportingCompany.com

1 greater than tetraethyl lead. And you form a variety
2 of products.

3 Metals are eliminated in the urine. They go
4 through the glomerulus and they're excreted. Some can
5 be reabsorbed. For example, metallothionein cadmium
6 goes through the glomerulus, but there's potential for
7 this complex to be reabsorbed -- this is
8 receptor-mediated endocytosis, proximal tubule; pH can
9 affect the elimination of metals.

10 This complex here, this uranium bicarbonate
11 complex, if you alkalize the urine, this is going to be
12 excreted. If it's acidic, it breaks down and the
13 uranium can bind the proximal tubule and damage it.

14 Metals are eliminated in the GI tract. You
15 can get normal shedding of the enterocytes. For
16 example, if they're taken up in the enterocytes and
17 they're not absorbed systemically, they're just shed
18 off. There's biliary elimination. For example,
19 aluminum, arsenic, cadmium have been shown to be
20 excreted in bile. For arsenic there's the MRP2
21 transporter. And this study by Kallah, et al, they
22 administered sodium arsenite IV and they collected the

866.488.DEPO

www.CapitalReportingCompany.com

1 bile, and they were able to show that there's this
2 arsenic tri-glutathione complex, and this model methyl
3 arsenic di-glutathione complex are eliminated in the
4 bile, whereas this one, this is a dimethyl, it's not.
5 They didn't see it. And I talked about the
6 methylmercury undergoing biliary elimination.

7 I'm going to say a few words about
8 mechanisms and then Dr. Costa will talk about
9 epigenetics later today.

10 This is called mimicry. This is when the
11 metals look a lot like -- are very similar in
12 structure, radius. Chemistry is somewhat similar. The
13 transporters can be somewhat promiscuous; the iron
14 transporter can also take up nickel, manganese, and
15 cobalt. This is all divalent. Zinc transporter,
16 several different metals, sulfate transporter,
17 selenate, arsenate -- I'm sorry, phosphate would be
18 arsenate.

19 So in this study here by Ganter and Idy, they
20 transfected these erythroleukemic cells with the ZIP
21 transporter, and they used radiolabeled zinc. And they
22 included then -- so as you can see here, they have a

866.488.DEPO

www.CapitalReportingCompany.com

1 hundred percent with just the zinc alone. Then if they
2 included in the cells these different divalent metals,
3 you can see decrease in the zinc that's being uptake.
4 These metals mimic zinc in the transport.

5 This one is called arsenolysis. You can see
6 up here in the corner the arsenate has a very similar
7 structure to phosphate. In this pathway, this is the 3
8 phosphoglyceraldehyde, this dehydrogenase can couple the
9 phosphate here to this carbon to form 1, 3
10 diphosphoglycerate, and then the kinase can cleave this
11 to form ATP. Arsenate has similar structure to
12 phosphate. The enzyme can couple the arsenate to this
13 glyceraldehyde, but you get just -- this isn't a very
14 stable bond here, and water can hydrolyze it, so you
15 can see you get loss of -- there's no ATP formed. This
16 would be called arsenolysis.

17 Finally, LAT is the large amino acid
18 transporter. As you can see here, methionine is an
19 amino acid. It looks very similar in structure to
20 methylmercury cystine.

21 This study here they looked at the oocytes
22 that have been transfected with these transporters.

866.488.DEPO

www.CapitalReportingCompany.com

1 This LAT here, this box should be LAT1.

2 Anyway, you can see that the two different
3 transporters take up the methionine at different rates
4 and amounts. And this mimic right here, the
5 methylmercury cystine can also be taken up too.

6 You'll see a lot in the literature on
7 oxidative stress in metals. These are free radicals
8 and reactive oxygen species, the nitrogen center
9 species such as the peroxy radical, hydroxyl radical,
10 hydrogen peroxide. Then you have these
11 nitrogen-centered radicals. Some of them are second
12 messengers, so the cell is always checking its cellular
13 oxidant state, because they always have enzymes and
14 antioxidants such as glutathione; enzymes would be
15 catalase.

16 If you increase the radicals within a cell or
17 the oxygen or nitrogen species and/or decrease your
18 antioxidants, you're going to be in a state of
19 oxidative stress. Oxidative stress has a role in aging
20 and human diseases such as cancer and cardiovascular
21 disease. They have this famous reaction called the
22 Fenton reaction. You have hydrogen peroxide and the

866.488.DEPO

www.CapitalReportingCompany.com

1 ferrous iron and it cleaves this to form a hydroxy
2 radical, a hydroxylamine and the ferric form of iron.
3 These metals can replace iron in the same reaction.
4 Hydroxy radical is very reactive. You can form these
5 other radicals here by different mechanisms. This can
6 cause DNA damage, oxidized protein and lipid per
7 oxidation.

8 Metals are genotoxic. These are known human
9 carcinogens: Arsenic, beryllium, cadmium, chromium and
10 nickel. Most of them are not direct mutagens, but they
11 are genotoxic. Micronuclei and chromosomal aberrations
12 are some of the events that occur. Arsenic and
13 cadmium, nickel and chromium, they can be -- are
14 genotoxic through an oxidative stress mechanism.
15 Chromium 6 is reduced and this can form DNA single
16 strand breaks and this interesting complex right here.

17 Metals can also interfere with the signal
18 pathways and transcription factors. Ones that are
19 studied, arsenic is studied, well-studied, cadmium,
20 chromium. And this study by Chen and Shaikh, they were
21 looking at kidney cells and incubating them with
22 cadmium chloride. At approximately 2.5 micromolar

866.488.DEPO

www.CapitalReportingCompany.com

1 concentration, they were able to detect reactive oxygen
2 species within the cells. They saw this transcription
3 factor, antioxidant response element binding and they
4 were able to detect - an increase in the antioxidant
5 enzymes.

6 At higher concentrations, though, they did see
7 the reactive oxygen species increase, but these cells
8 went apoptotic. So they couldn't overcome this higher
9 concentration of cadmium chloride.

10 Some of the influencing factors, for example
11 oral drugs, alcohol and tobacco.

12 Oral contraceptives can increase
13 ceruloplasmin and increase serum copper. This is kind
14 of interesting. Cobalt in beer, you get increased
15 cardiomyopathy; age and sex, the gene environment.
16 This is -- you might, if you go to the arsenic section
17 a lot of people are talking about this. This is
18 polymorphisms. And what people do is they will look at
19 the urinary DMA and MMA ratio. They're finding with
20 different polymorphisms, AS3MT, you get different
21 ratios of these -- of these methylated species, and
22 some of it's related to skin lesions. There's a

866.488.DEPO

www.CapitalReportingCompany.com

1 Mexican population that has been studied. And it was
2 related to the urinary DMA, MMA ratio to these lesions.
3 Metals can also interact with other metals.

4 Finally, chelation, that's a way to treat
5 metals that are within us. Chele- is a Latin word for
6 claw of a lobster. It undergoes coordination
7 chemistry. Your chelator is going to decrease toxicity
8 of the metal. It's going to be water soluble. It will
9 penetrate membranes, distribute the same as the metal.
10 It will compete with natural chelators. The
11 metal-chelate complex is non-toxic and it's rapidly
12 eliminated.

13 Here's a couple examples of chelators. These
14 are all sulfur ones. It doesn't have to be a sulfur
15 compound to be a chelator. But an example is the 2,
16 3-dimercaptopropane sulfonate and dimercaptosuccinic
17 acid. This is one here called British Anti-Lewisite,
18 and it's also 2, 3-dipropanol.

19 This is actually another interesting story.
20 Lewisite is a war gas that was developed by the
21 Americans at the end of World War I, but it wasn't
22 used; When World War II was approaching, the British

866.488.DEPO

www.CapitalReportingCompany.com

1 were worried that the Germans would use this war gas.
2 Because if you read about World War I, a lot of war
3 gases were used.

4 So the British set out to find an antidote for
5 this, and they came up with this compound called
6 British Anti-Lewisite. And basically with the
7 chelators that you form a -- for this one, you form a
8 stable five member ring. This works pretty (well),
9 this compound, with arsenic and some other metals.

10 So there are some benefits and risks to
11 chelators. They're effective against acute poisoning.
12 Their complex is -- well, should be non-toxic, you move
13 the metals from the soft tissues. And if it's orally
14 administered, that would be great. There are some
15 risks, though, at times, because a toxic metal can be
16 redistributed to other tissues or organs. It could
17 bind to essential metals, which would be not a good
18 thing. Metals just remain within the intercellular
19 sites and never eliminated. They could have some
20 toxicity.

21 In conclusion then, we note chemical
22 properties of metals are important determinants in

1 their disposition and toxicity. Metals are important
2 components of molecules and essential to life. They're
3 absorbed by all three routes: pulmonary, dermal, GI
4 tract. They're metabolized by oxygenation reduction
5 mechanisms, and they're methylated and can be
6 demethylated. There are a number of toxicities for
7 these compounds, as well as influencing factors. And
8 chelators can result in metal detoxification.

9 And I'm done. So if you have any questions.
10 Sir?

11 FROM THE AUDIENCE: I think in one of your
12 slides, you talked about toxicity associated with
13 deficiency. What's the difference between deficiency
14 and toxicity as now people are talking about toxicity,
15 but I mean, is deficiency really a toxicity?

16 DR. HUGHES: Well, I would -- I think in
17 the -- I would think it would be. I mean, because
18 you -- well, I mean there is the low dose, you were
19 talking about these low dose effects that basically
20 it's a mono -- this monotonic. The U-shaped curve is
21 what it is. It's with -- Ed Calabrese is always
22 publishing about. So, it's -- I mean, yeah, it is a

866.488.DEPO

www.CapitalReportingCompany.com

1 toxic state, but it has -- it's unusual from what --
2 it's not what we classically learn.

3 FROM THE AUDIENCE: Yeah.

4 DR. HUGHES: You know, we're always thinking
5 about, you know, dose -- increase dose, increase
6 toxicity. So, I mean, I would agree with you, yeah, it
7 is a toxic state because you do have these toxicities,
8 anemia and Keshon disease.

9 FROM THE AUDIENCE: Just another question.
10 Talking about insoluble metals such as the carbonites,
11 but we can still get toxicity with insoluble fractions,
12 can't we?

13 DR. HUGHES: Well, the metal -- basically
14 the -- for the most part they need to be soluble. I
15 mean, you can get -- I would think you can get some --
16 I'm thinking of some type of reactivity on skin or
17 something, like a metal particle or something gets on
18 the skin. But I don't know how much that is soluble.
19 But I think for the most part that things need to be --
20 metals need to be soluble, especially to be absorbed.

21 FROM THE AUDIENCE: We've seen lead carbonite
22 being absorbed into the body in pollution events. I

866.488.DEPO

www.CapitalReportingCompany.com

1 don't know whether that's because it gets -- the form
2 gets changed for it to be absorbed, because we can find
3 lead in the blood at elevated levels, or just where it
4 just overwhelms the system.

5 DR. HUGHES: Is this through GI absorption? I
6 mean, GI tract?

7 FROM THE AUDIENCE: Yes.

8 DR. HUGHES: So, you know, it could be just a
9 particle that goes through, like receptor mediated
10 endocytosis or something like that perhaps. I mean, so
11 maybe that's not really -- somehow it's the particles
12 there, but it's not -- it's in the solution -- I mean,
13 it's in your GI tract obviously, so it's in the liquid.
14 It's there and it just comes across the enterocyte and
15 the enterocyte somehow envelops it and takes it in.

16 FROM THE AUDIENCE: Thank you.

17 FROM THE AUDIENCE: Just a real quick
18 question. You had your list of essential metals and
19 you listed six with chromium as a maybe. I sort of had
20 the impression there were a lot of others that were in
21 play and discussion out there that might have essential
22 functions but it's unproven or controversial. How

866.488.DEPO

www.CapitalReportingCompany.com

1 solid is that list? I mean, one that comes to mind
2 that I've seen suggested is vanadium, and I think there
3 are others as well, but I mean, it's really hard to
4 create a deficiency, so it's kind of uncertain.

5 DR. HUGHES: Right. Right. From my read,
6 those are the ones that come across all the time. I
7 mean, so -- I mean -- but you're right, there's other
8 metals that people say are essential. I've worked a
9 lot with arsenic, and so at one point people are
10 saying, well, is arsenic an essential metal? Because
11 if you throw it into -- well, there's one report
12 saying, I think it was -- I can't recall the person's
13 name, but they were saying that, you know, arsenic is
14 essential because in their studies with animals they
15 took it out. But as you said, it's really hard to take
16 out these metals from the diets.

17 So, to answer your question, as far as my
18 reading is that those are the main ones. And so there
19 are some types of metals that could be important for
20 other enzymes, like you said, vanadium.

21 FROM THE AUDIENCE: Okay. Thank you.

22 FROM THE AUDIENCE: Hi. I had a question

866.488.DEPO

www.CapitalReportingCompany.com

1 about the (inaudible) phenomenon. Is that specific to
2 water or do other solutions consisting of poly
3 molecules also have this phenomenon where metals can
4 dissolve more easily as opposed to in gas?

5 DR. HUGHES: Well, it's the -- the -- well in
6 a gas there's nothing there that -- generally my read
7 of it is that there's no -- that can decrease the
8 energy of the ionization. Because you just have the
9 other gaseous molecules there. So I would think that
10 something that can form a dipole moment, because the
11 water can form the -- you know the oxygen and withdraws
12 the electrons from hydrogen, so it forms that dipole.
13 So something -- another -- certainly another something
14 in liquid state that can form a dipole should be able
15 to do this. But it's -- for humans, it's not -- at
16 least within us, you know, we're full of water. So
17 that's going to be really the important thing for us.
18 So I would think chemically, yes, there are other
19 things that, for example, maybe chloroform. Chloroform
20 could be like -- that could potentially do that too
21 because you have the chlorines withdrawing the
22 electrons from the carbon. So I'm just making the

866.488.DEPO

www.CapitalReportingCompany.com

1 suggestion there, but I'm not for sure.

2 FROM THE AUDIENCE: Thank you.

3 (Whereupon, the presentation was concluded.)

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

SOCIETY OF TOXICOLOGY

MARCH 10-14, 2013

San Antonio, TX

Course: Toxic Effects of Metals

Presentation: Metal-Induced Organ Systems Toxicities

Speaker: Dr. Wei Zheng

DR. WAALKES: So it's my pleasure now to introduce our next speaker, Dr. Wei Zheng. And Dr. Zheng is a professor of Toxicology at Purdue University. He began his career at Columbia University in the School of Public Health, and he joined Purdue in 2003. Now he is the head of the School of Health Sciences. And there's a number of faculty and staff that he's in charge of. And his research interests are in the metal-induced neurodegenerative disorder, such as Parkinson's disease, Alzheimer's disease and essential tremors. And he has a special interest in manganese-induced Parkinsonism and lead-induced neuro deficits.

1 DR. ZHENG: Thank you, Mike, for your
2 introduction. Good morning, everyone. This lecture is
3 mainly about the metal toxic effect on the organ
4 systems. There are three organ systems we're going to
5 talk about, that is, brain, blood vessel, and bones.
6 Also, we will use several well-known toxic metal such
7 as manganese, lead, and aluminum to address those
8 issues.

9 Now, what I'm going to do is that first I'd
10 like to very briefly review the unique biochemical
11 property of toxic metals. Then I'd like to discuss the
12 metal interaction with the three B organ system. And
13 finally, I'd like to take this opportunity to introduce
14 some new technology in the metal toxicological
15 research. I hope this lecture will provide some food
16 for thoughts.

17 Now, if you look at your handouts, you will
18 find that I've tried to put as much as information
19 there, but I'm not going to go through each line. So
20 even some lines I did not mention; that does not
21 necessarily mean it's not important. They are going to
22 be your take home message or your homework; okay? Also

866.488.DEPO

www.CapitalReportingCompany.com

1 some slides in the handouts came out not as ideal as I
2 wanted. So here I apologize for this.

3 Now, as Mike Waalkes, the first speaker, just
4 mentioned, metal is very unique in their special way.
5 Unlike the organic chemicals, the metals may change
6 their chemical forms; but their basic units are neither
7 created nor destroyed; the lead is always lead and
8 cadmium is always cadmium, no matter how human
9 manipulated them. That's why the metal has a long
10 persistent biological effect. They can damage one
11 organ system; but if they are not sequestered, they can
12 run to the other organ systems and cause the similar
13 damage.

14 So from the toxicological point of view, many
15 metals are intracellularly distributed. For example,
16 the manganese is not only intracellularly distributed,
17 it is also accumulating in the nuclei, making it
18 difficult to remove them. That makes the treatment
19 very difficult. And that's why a lot of metals have
20 very long half-life. Cadmium, for example, has the
21 half-life about 30 years in the human body, and lead
22 about 20 years.

1 Many metals, as Mike just pointed out, are
2 transported across the cell membrane by unique
3 transporter systems. The DMT1 transports the iron, but
4 it also transports manganese. And the CTR1 transported
5 the copper. The zinc proteins not just transport the
6 zinc, but also transport some other heavy metals as
7 well. Because of this unique transport property, any
8 genetic modulation or the defect on those transporters
9 can cause profound consequences in body accumulation of
10 metals accumulation in the body such as in the case of
11 Menkes disease and Wilson's disease we will talk about
12 in the next slide.

13 From neurotoxicology point of view, metals
14 have unique distribution pattern in brain. Our recent
15 study by synchrotron x-ray fluorescence technique has
16 found that lead in the brain is relatively evenly
17 distributed, but for copper and zinc, they are
18 highly-concentrated in the hippocampus.

19 This slide shows a list of typical neurotoxic
20 metals. Lead is well-known to induce the learning
21 defects, but the most recent data from the human study
22 and animal data show that lead exposure may contribute

866.488.DEPO

www.CapitalReportingCompany.com

1 to the etiology of Alzheimer's disease. On Tuesday
2 afternoon, there will be a symposium specifically
3 discussing this subject and Dr. Jennifer Freeman from
4 Purdue University will chair that session.

5 We will talk about manganese in the next
6 slide. As we just mentioned copper, it has a U-shape
7 dose-response curve. When the concentration is low, it
8 is useful but then the concentration is high, it can be
9 toxic. Copper requires ATP7A to enter the brain. A
10 genetic defect in ATP7A can cause significant copper
11 defects. The brain function requires copper. That's
12 why ATP7A deficiency causes Menke's disease.

13 ATP7B, on the other hand, transports copper
14 from liver to bile and actually remove the copper from
15 the body, and also removes the copper from the brain to
16 the blood. So the genetic disease that happen in this
17 ATP7B eventually can lead to the copper overload in the
18 brain. In clinics we call it the Wilson's disease.

19 Iron has been implicated in the Parkinson's
20 disease. Early exposure to mercury can cause after
21 births learning problems. We will talk about aluminum
22 later in the lecture.

1 Now I'd like to spend a little bit of time to
2 talk about manganese. Manganese exposure happens
3 mainly in the occupational settings, where steel
4 workers and welders are most frequently the victims of
5 Mn exposure. This table summarizes the similarity and
6 differences between manganese and Parkinson's disease.
7 Both manganism and IPD patients share the common signs
8 and symptoms, such as increased muscle tense that leads
9 to dystonic posture. On the left side of the slide,
10 you can see the typical dystonic posture. Okay? Also
11 you'll see the significant decrease of the body
12 movement. It's very typical in manganism patients,
13 also called a cock gait. Both manganism and IPD
14 patients have the tremor. But often or not, you find
15 the resting tremor in the Parkinson's disease, whereas
16 manganism patients show the action tremor. In
17 addition, the Parkinson's patients respond to levodopa
18 therapy pretty well. That does not happen to the
19 manganism patients. The other method to distinguish
20 manganism from IPD is to use the MRI to see if
21 manganese in brain striatum. We will mention this
22 later. In the manganism patients, you will find a high

866.488.DEPO

www.CapitalReportingCompany.com

1 signal in striatum, but it does not happen to the
2 Parkinson's patients.

3 Several years ago I believe in 2005, we did a
4 human study using MRI. The subjects were recruited from
5 the ferroalloy industry where workers are manufacturing
6 the manganese. The top one MRI imaging was obtained
7 from a control subject. For the low-dose exposure,
8 workers were not in the front line, but worked in the
9 office of the same factory so they were also exposed to
10 manganese. The bottom one is the manganese worker;
11 being smelters, they worked in the front line of
12 production. You can clearly see there's a very bright
13 signal in the globus pallidus.

14 This next cartoon shows you where is the
15 globus pallidus. Here is the globus pallidus, okay?
16 But our recent study from animal model used synchrotron
17 x-ray fluorescent technique, and we found that
18 manganese is actually also accumulated in the
19 substantia nigra. We know that substantia nigra has
20 dopamine neurons. So the damage to the substantia --
21 that often happens in Parkinson's patients - may be one
22 of the mechanisms of manganese toxicity.

866.488.DEPO

www.CapitalReportingCompany.com

1 This slide shows you how we did this study.
2 The animals were treated with the manganese over the
3 period of time and we took the brain and prepare for
4 synchrotron study. The Synchrotron generates very high
5 speed particles. The particles then bombard the
6 tissue, and generate this imaging. Now, from this
7 slide, the top one is control, the lower one is
8 manganese treated animal. You can see manganese is
9 labeled in the red-color; the green color is iron, you
10 see a lot of iron here. Copper is purple. After
11 manganese exposure, the manganese clearly accumulates
12 in the substantia nigra.

13 This slide shows you where substantia nigra
14 is. We think that site of manganese accumulation in
15 the brain is not just substantia nigra by the MRI, but
16 is also in a substantia nigra. The site of manganese
17 accumulation often can be a site of manganese toxicity.
18 The data from the John Hopkins indeed reported that in
19 primates, manganese decrease dopamine release. And the
20 other investigator from the Washington University in
21 St. Louis used the PET scan to study fluorodopa uptake.
22 They found the fluorodopa uptake was reduced in both

866.488.DEPO

www.CapitalReportingCompany.com

1 manganism and IPD patients, but manganese-exposed
2 welders had more significant decrease in the caudate
3 nuclei here, whereas the typical Parkinson's patients
4 had the fluorodopa significant decrease in putamen. So
5 there is difference between IPD and manganism.

6 So coming back to this slide, we think that
7 Based on MRI and synchrotron studies, we know Mn
8 accumulates in both GP and substantia nigra. So there
9 is a sound reason to speculate that Mn intoxication may
10 affect neuronal pathways in those two regions to cause
11 pallidal degeneration as well as nigrostriatal dopamine
12 dysfunction. But a lot more studies need to be done to
13 verify this hypothesis.

14 Cellular types that are affected by the
15 manganese exposure include DA neurons, GABAergic
16 neurons or microglial cells. A couple years ago Ronald
17 Tjeken at Colorado State did a very nice study, they
18 found manganese exposure in very early stage can
19 increase neuron's susceptibility to the inflammatory
20 glial activation in adults. So this is a relatively
21 new area of the study.

22 Now, once inside of the cells, where does Mn

866.488.DEPO

www.CapitalReportingCompany.com

1 accumulate? For many years people believe that
2 manganese is mainly accumulate in the mitochondria. To
3 demonstrate if that hypothesis is true, Dr. Kalia in my
4 laboratory conducted in vitro experiments. Very
5 surprisingly we found that most of Mn ions in brain
6 cells are in nuclei. In the blood-brain barrier Z310
7 and RBE4 cells, more than 70% of Mn are in nuclei. The
8 PC12 and N27 cells, those are the dopamine neurons in
9 the brain, less than five percent of the manganese
10 accumulates in the mitochondria. Okay. So the
11 majority manganese actually accumulates in the nuclei.
12 But our study does not necessarily mean that the
13 mitochondria is not important; it just simply point out
14 that after exposure, nuclei play a very important role
15 in the subcellular distribution of Mn. This
16 observation raises new question, why Mn accumulates in
17 nuclei, what are the consequences. Those can be
18 excellent research subjects of graduate students

19 For mechanism of cellular toxicity, some of
20 the studies demonstrate that manganese may interact
21 with essential elements and can also generate the
22 oxidative stress. But today what I'm going to talk

866.488.DEPO

www.CapitalReportingCompany.com

1 about is the -- this isn't going to work -- okay, so
2 today what I'm going to talk about is -- manganese
3 interaction with Aconitase and also called iron
4 regulatory protein-1. And this slide shows you that
5 aconitase has four iron, four sulfur cluster in its
6 active center, which is involved in ATP production in
7 Crab circle. When the cellular iron concentration is
8 low, the enzyme lose its forth Fe and become 3 iron
9 four sulfur as iron regulatory protein-1 involved in
10 iron regulation, okay? So this active center actually
11 is interchangeable.

12 One of the key proteins in iron regulation is
13 called transferrin reception or TfR, which transports
14 Fe into the cells. The mRNA that encodes TfR has the
15 unique 3'-iron responsible elements that can bind to
16 IRP1. Okay? When the cell needs iron, the IRP1 binds to
17 TfR mRNA; the binding eventually stabilizes the
18 transferrin receptor and leads to the production of
19 TfR. So the more the transferrin receptor on the cell
20 surface, the more iron that can be taken up by the
21 cells. So the cellular Fe level increases.

22 But in case of manganese exposure, manganese

866.488.DEPO

www.CapitalReportingCompany.com

1 replaces iron in the fourth binding site to stabilize
2 this structure and stabilize the expression of TfR. As
3 a consequence, the over-production of TfR leads the
4 cell to accumulate excessive amounts of iron more than
5 more than the cell really needs. We know that excess
6 iron in the cells eventually can cause the oxidative
7 stress and kill the cells. So this is what we think it
8 may happen at the cellular level with Mn toxicity.

9 Okay. for the research challenges in the
10 manganese-induced neurotoxicities, we think that early
11 diagnosis of manganese intoxication is a key to the
12 prevention. Mn parkinsonism is often found too late
13 for therapy. Patients with the established signs and
14 symptoms often do not respond to therapy. So the
15 prevention is the key. Since Mn is intracellularly
16 sequestered, it is a daunting task to find a reliable
17 treatment for manganism.

18 From the Public Health point of view,
19 manganism shares the similar symptoms with PD. Is it
20 possible that Mn may sensitize some individuals making
21 them more prone to the Parkinson's disease? So, more
22 epidemiological studies on human cohorts should be

866.488.DEPO

www.CapitalReportingCompany.com

1 done. We also don't know What level in air is
2 considered as safe. So the exposure assessment is still
3 needed.

4 I'd like to point out a few New Trends in
5 Mechanistic Investigation. We need to better understand
6 early exposure to Mn and the toxic outcomes in later
7 life. Since we have shown the evidence that Mn Acts on
8 substantia nigra and DA pathways, more studies need to
9 be done to prove this finding and seek for mechanism.
10 Finally, Mn may not just interact with Fe; its
11 interaction with other metal ions such as Cu, Zn should
12 be investigated.

13 Now I'm going to shift the gear, talk a little
14 bit about the metal toxicity on the vascular system.

15 This cartoon shows the typical vascular
16 structure. The blood travels through the artery to
17 small and narrow blood vessel called capillaries where
18 the blood can exchange the materials with tissues. The
19 remaining blood is eventually collected by the small
20 venule and to the vein. The chemicals can cause the
21 non-selective structural damage to blood vessels; okay?
22 For example, the high level exposure to the cadmium or

866.488.DEPO

www.CapitalReportingCompany.com

1 lead can damage the endothelial cells and cause
2 hemorrhage. Some metals can selectively cause the
3 functional damage, for example, cadmium and lead can
4 interact with blood vessel and cause the contraction of
5 the blood vessel, in the clinic and one can see the
6 hypertension. Manganese, on the other hand, can
7 interact with blood vessel and dilate blood vessel, so
8 in the clinic it causes the hypertension.

9 Some metals can be sequestered by the vascular
10 system. For example, the choroid plexus, a tissue that
11 has rich vascular structure, can accumulate more than
12 11 toxic metals. In the next few slides, I'd like to
13 use lead as an example to show how toxic lead may
14 damage vascular structure.

15 The experiment in this slide shows the direct
16 effect of lead on the blood vessel. There is an
17 extensive cerebral hemorrhage, that is due to lead
18 exposure. If we use di-aminobenzidine to stain the red
19 blood cells on the left, you see that in control
20 without lead exposure the blood cells mainly stay
21 inside of the blood vessel. But with the lead
22 exposure, you will find the red blood cells actually

866.488.DEPO

www.CapitalReportingCompany.com

1 invade brain parenchyma, which suggests the leakage of
2 the blood cells to brain tissues in the lead-exposed
3 animals.

4 Several years ago, we used electron
5 microscope, TEM, to study low level lead exposure on
6 the blood-brain barrier. We used Lanthanum as a
7 leakage marker because the molecule cannot penetrate
8 blood vessel. So in the control group you will see all
9 dark stains are confined within the blood vessel; but
10 with the lead exposure chronically, at relatively low
11 concentration you can clearly see the invasion of
12 lanthanum to brain parenchyma. So how can the lanthanum
13 pass across the BBB? It must affect the permeability or
14 the tight junctions that control the permeability;
15 okay?

16 And we further did experiment to see what kind
17 of tight junctions actually got damaged. We found that
18 Pb exposure selectively reduces the expression of
19 Claudin-1, but not occludin and ZO1. And the effect is
20 more toward the membrane-bound claudin-1 than the
21 cytosolic claudin-1. So the lead exposure indeed
22 affects the tight junction.

866.488.DEPO

www.CapitalReportingCompany.com

1 And beyond this direct cytotoxic effect, some
2 early studies have shown that Pb activates Protein
3 kinase C, which in turn increases the permeability of
4 the blood-brain barrier. This is a typical protein
5 kinase C activity assay. We did a study years ago, I
6 think, it was actually published in 1998. In our own
7 experiments, we found that after lead treatment, PKC
8 activity in the cytosol is decreased, but in the
9 membrane, the PKC activity is actually significantly
10 increased. The data suggest that PKC activity is
11 activated after lead treatment. PKC activity is
12 associated with the loss of endothelial barriers and
13 also related to the increase transendothelial
14 permeability.

15 So I'm not going to read also through all the
16 lines on this slide, but one thing I'd like to point
17 out here is that the blood brain barrier is very
18 important in the etiology of neurodegenerative
19 disorders particularly in Parkinson and Alzheimer's
20 diseases, because the blood-brain barrier actively
21 transports the key proteins involving in the disease
22 progression. Here I'd like to use beta-amyloid as an

866.488.DEPO

www.CapitalReportingCompany.com

1 example.

2 This slide just shows you that beta amyloid is
3 a critical peptide involving in aggravation of
4 amyloids, which is the key event in the formation of
5 senile plaques in Alzheimer's disease. So the
6 homeostasis of Abeta in brain extracellular fluids is
7 critical to the progression of disease. So this slide
8 shows you that blood brain barrier cells. The
9 endothelial cells are connected by tight junctions;
10 okay. Under normal condition, beta amyloid in the
11 blood vessel can be transported into the brain by the
12 protein called RAGE. Within the brain, the Abeta can
13 be transported by the other protein called LRP-1 out of
14 the brain back to the blood. So the influx of Abeta is
15 mediated by RAGE and efflux is by LRP1. Okay. So
16 these transporter systems at the blood brain barrier
17 maintain the stability of the A-beta in the brain.

18 Several years ago, Dr. Janelle Crossgrove did
19 the study to see if Pb exposure affects the Abeta
20 clearance from the CSF. This is a choroid plexus, the
21 tissue is in brain ventricles where the blood-
22 cerebrospinal fluid barrier is located. Inside is the

866.488.DEPO

www.CapitalReportingCompany.com

1 blood vessel. Outside is the cerebral spinal fluid.
2 As you can find, with lead treatment, you can see a
3 lot of A-beta accumulate in epithelial cells. In other
4 words, lead exposure increased A-beta accumulation in
5 the choroid plexus. Okay. In other study, we also
6 found lead exposure can activate protein kinase C,
7 prompts LRP1 moving toward the apical membrane facing
8 the CSF. Thus, Failure of the choroid plexus in
9 removing Abeta from the CSF to blood results in Abeta
10 build-up in the tissue. I will talk about all this in
11 the symposium on the Tuesday afternoon. So if you want
12 to learn more about it, I welcome you to attend that
13 symposium.

14 Now, for the future research in this area, we
15 think that recent epidemiological studies have
16 established the relationship between lead exposure and
17 Alzheimer's disease. Some altered genes related to
18 amyloidogenesis have been associated with early lead
19 exposure. We will have a special symposium on the
20 Tuesday afternoon on this subject, so I'm not going to
21 talk about it in great detail here.

22 And one thing I'd like to mention is that the

866.488.DEPO

www.CapitalReportingCompany.com

1 recent from our work shows that a fat-rich diet can
2 increase the blood brain barrier permeability because
3 the high fat diet contains abundant lipids, which can
4 precipitate in the blood vessel and alter the
5 permeability. It would be interesting to see if fat-
6 rich diet enhances lead effect on the blood brain
7 barrier permeability. How does all this have anything
8 to do with the beta amyloid in the brain? So this is a
9 very interesting research subject.

10 I'd like to mention that there is an increased
11 interest to understand how the BBB transports the
12 specific proteins in AD or PD such as Abeta and alpha-
13 synuclein. Also there is a need to understand the
14 signal transduction in BBB, such as LRRK2, and how that
15 may affect metal transport. So there are a lot of
16 research subjects for students to explore.

17 Now, I'm going to shift the gear to talk about
18 lastly aspect about metal toxic effect on a skeleton
19 system. This cartoon shows that structure of the bone.
20 The bone actually has two major components, the
21 cortical bone or the compact bone that is on the out
22 surface. About 80 percent of the bone actually is

866.488.DEPO

www.CapitalReportingCompany.com

1 cortical bone. And also there are some spongy bones or
2 the trabecular bone that is soft inside.

3 Our bone constantly experiences the circle of
4 reabsorption and accretion. So those circles are very
5 important for the metal exposure. When we talk about
6 the metal exposure in bone, we often refer bone as the
7 target organ for the metal toxic effect. Indeed, Mike
8 just mentioned that cadmium accumulation in the bone
9 can cause Itai-Itai disease; okay? The lead
10 accumulation in the bone can soften bone and inhibit an
11 osteoblast and sometime form the Pb line. But the bone
12 is also a organ for the metal storage, okay? If you
13 look at this table, you'll find out that 99.9 percent
14 of calcium accumulate in the bone. For lead, on the
15 other hand, 92 percent of the body burden of lead
16 actually accumulates in the bone. For Aluminum about
17 34 percent in bone and Manganese in the human, 43
18 percent of manganese is accumulating in the bone.

19 The metal bone accumulation is kind of a
20 defense mechanism for metal toxicity. When the metal
21 gets into the body, the bone acts as a storage site to
22 prevent metal toxicity from happening right away. But

866.488.DEPO

www.CapitalReportingCompany.com

1 before long, the bone actually can serve as internal
2 source of exposure. Slow release of lead from the bone
3 is a known source of chronic lead exposure.

4 Let's talk a little bit more about aluminum
5 toxicity. The aluminum exposure happens in occupation
6 and environmental settings, mainly is occupational; but
7 more often than not, aluminum exposure happens in the
8 clinical settings, or by the medication. For example,
9 renal failure patients who receive the dialysis
10 sometimes can develop the symptom called dialysis
11 dementia. That is due to the aluminum present in the
12 tubings or in the process we will mention as the
13 parental nutrition in the next slides. Clinically, you
14 will find out that aluminum exposure can cause the bone
15 dystrophy and also soften bone. So some mechanistic
16 studies have found out that aluminum can inhibit bone
17 remodeling. In addition, aluminum itself can form the
18 physical barrier to interfere with the bone
19 calcification.

20 The aluminum osteotoxicity is quite often seen
21 in parenteral feeding in infants. The infants through
22 premature delivery, the pre-term infants, okay, often

866.488.DEPO

www.CapitalReportingCompany.com

1 receive the parenteral nutrition through those tubings.
2 The process itself could contaminate by aluminum,
3 because aluminum is ubiquitous and present everywhere.
4 So the subjects or the infants by neonatal aluminum
5 exposure often have low hip bone mass. That has been
6 demonstrated in the clinic. If you want to know more
7 about the detailed mechanism, I would invite you to
8 read most recently review article about how the
9 aluminum may cause the trouble.

10 Several months ago, we did a study to see if
11 manganese exposure may lead to its accumulation in
12 bone, what is the half-life and what is the time frame
13 for manganese to build up in the bone. Dr. Lan Hong
14 and Stephanie O'Neal a doctoral student, they are in
15 the audience here, did the study. They treated animals
16 with manganese. Over the period of time they killed
17 the animals, took the bone samples and measured the
18 manganese concentrations in the bone. This slide shows
19 the results. You will find that the femur is there --
20 the femur and the tibia is really the leg bone, and the
21 humerus is a hand bone, you will found out that over a
22 period after chronic exposure manganese concentration

866.488.DEPO

www.CapitalReportingCompany.com

1 is indeed increased in the bone.

2 More importantly, their data found that there
3 is a significant correlation between the manganese
4 concentration in the bone and manganese concentration
5 in the brain. In the striatum, for example, changes in
6 manganese are the function of the manganese
7 concentration in the bone. Same thing is true for the
8 manganese concentration in cerebral spinal fluid. The
9 change in the manganese in the spinal fluid is a
10 function of the manganese concentration in the bone.
11 Right now the study is still ongoing. We try to find
12 out what is the half-life of manganese in the bone in
13 those animals.

14 For the future study we think that the top
15 challenge in metal osteotoxicity is how we can measure
16 toxic metals in bone. Unlike blood, we cannot easily
17 get bone samples for analysis. Thus, developing the
18 non-invasive way to measure bone concentrations of the
19 metals is critical. And there are new theories and
20 practices in this area; I will mention this a bit
21 later. I think we're very lucky at Purdue in that we
22 have several outstanding researchers who are right now

866.488.DEPO

www.CapitalReportingCompany.com

1 doing this kind of methodology.

2 Also if you know the pharmacokinetics, the
3 bone is a deep organ; a chemical or therapeutic drug is
4 usually difficult to reach to that space. So treatment
5 is actually challenging, how can we remove metals, in
6 addition to brain, from the bone out of the body.

7 From the public house point of view measuring
8 the body burden of the metal is always important for
9 risk assessment. So there is a need to study if we can
10 use bone metal concentrations as a new stand for
11 occupational, environmental and nutritional monitoring
12 of the trace metals.

13 I'd like to point out that a lot of new
14 questions have never been answered in terms of the
15 mechanistic understanding of the metal interactions
16 with the bone, how the metals, for example, manganese,
17 lead and aluminum, are accumulated in the bone, and
18 what is the mechanism. Does the bone storage act as
19 source of internal exposure. Many could argue that
20 bone probably just simply stores metals and not
21 necessarily releases them from the bone. But there are
22 a lot open questions we don't know about. So I think

866.488.DEPO

www.CapitalReportingCompany.com

1 to the students in this room this is going to be a very
2 good area for your study. The other questions such as
3 the forms of the metals, how they are released from the
4 bone, in what chemical forms, are they the same as the
5 chemical form the human exposed to in the air, water
6 and the soil, remain unknown. So a lot of study can be
7 done in the field.

8 Now I'd like to use the last few minutes to
9 talk about a new trend or new technology in the metal
10 toxicological study. I think everybody in the room
11 probably understand what is MRI, magnetic resonance
12 imaging, and MRS, the S is for the spectroscopy. With
13 the improvement of technology and reduced price, now
14 MRI is used widely in nearly all mid-size hospitals.
15 MRI is particularly useful for metal study because
16 metals like manganese and iron in the high magnetic
17 field can have a unique spin. So we can actually
18 capture those signals, eventually generates the
19 imaging. The advantages for the MRI are its
20 noninvasive assessment, real-time measurement, and MRS
21 even allows to see the functional changes. Any time
22 physicians thought about manganese exposure, they can

866.488.DEPO

www.CapitalReportingCompany.com

1 always ask the patients to do MRI. This slide show the
2 study we did in Nanning China for Mn exposure among
3 smelters. You may recognize who is this big guinea pig.
4 Dr. Ulrike Dydak is the leader in GABA analysis using
5 MRS. So the MRI is not just to measure the metal
6 concentration, but it also functionally tells you what
7 kind of brain neurons is damaged.

8 The other technique I like to mention is
9 called a Synchrotron base x-ray fluorescence imaging.
10 The technique uniquely fits for metal study. The basic
11 theory behind the x-ray imaging is that you inject the
12 electrons into the system; the electrons can be
13 accelerated at a very fast speed; so the high-speed
14 particles can hit the target or bomb the target to
15 cause the metals to produce the fluorescence. We can
16 capture those fluorescent signals and then generate the
17 imaging. The technique has now developed into the
18 stage we can capture the signal from a single cell and
19 we can see the imaging with single cells. The other
20 advantage is that at the same time we can measure
21 probably seven or eight different kinds of heavy
22 metals.

1 This slide shows how that works. You have the
2 synchrotron machine to generate high speed particles,
3 the particles can be guided to the specimen mounted
4 here, for example, the brain specimen or the liver
5 specimen here. The particles hit the specimen and
6 eventually generate fluorescence; once captured, you
7 can see the pictures. This technique right now has
8 become mature and has been widely used.

9 The other technique I like to mention is
10 called a neutron-based x-ray fluorescence imaging.
11 This technique is quite similar to the Synchrotron, but
12 the source of the radiation is different. In this
13 case, the source of radiation is the neutron. Now,
14 that technique has advanced to the stage that you can
15 build the neutron generator in a small volume so that
16 it can be moveable if not portable. I think the
17 portable neutron-base x-ray fluorescence technique is
18 the future for bone metal assessment. That technique
19 is going to change the field of metal study, metal
20 toxicological investigation, and metal nutritional
21 survey and monitoring. That is going to affect
22 epidemiological study and risk assessment of metals in

866.488.DEPO

www.CapitalReportingCompany.com

1 humans. That is going to challenge the theories that
2 guide our understanding of metals' health effects for
3 so long time.

4 So in summary, I think that for the metal
5 related to neurotoxic effect, new technology has
6 allowed us now to precisely locate where the heavy
7 metal is in the target area; especially with
8 Synchrotron x-ray, we can get it down to the single
9 cell level. But I think the most challenge issue to us
10 in metal toxicology is really the metal toxicity to
11 brain. We know lots of in vivo and in vitro studies
12 have been done; but in many studies, up to this stage,
13 the focus has been on cell's response to metal
14 toxicity. The important thing is to integrate all the
15 collected information in a systems way and to
16 understand how metals interact with a particular or
17 several neurological pathways. Because in the neuron -
18 - this is not happening in the single cell, it's
19 actually the multiple factors come into play. So the
20 systemic understanding what is the neurotoxic effect
21 will be the future of mechanistic investigations. Also,
22 I think that will be the basis for treatment in the

866.488.DEPO

www.CapitalReportingCompany.com

1 future.

2 Okay. For the metal interaction with vascular
3 system, I think this area is under investigation. In
4 the past, a lot of study have been done with biological
5 understanding of the barriers and tight junctions, but
6 so far not much has been done to understand the metal
7 interaction with the vascular system. There are a lot
8 of new opportunities for the graduate students.

9 Finally, about the bone, heavy metals are
10 known to affect the bone. I think this area has been
11 explored for many, many years. But recently re-
12 energized interest is simply due to the technology
13 advancement. Now, we have the opportunity to
14 non-invasively measure those heavy metals in the bone.
15 So I think this area offers a great hope and eventually
16 the new opportunities for nearly all aspects of
17 toxicological investigate in the future.

18 So I'd like to thank you very much for your
19 attention.

20 Any questions?

21 FROM THE AUDIENCE: Yeah, we have a whole
22 bunch of questions.

1 FROM THE AUDIENCE: I was interested in the
2 XRF handheld analysis. We've done field work on
3 environmental samples, soil and dust. Is that the same
4 technology that you're using do the bone?

5 DR. ZHENG: Yes, we can use handheld device -
6 it is the x-ray fluorescence device, for bone lead
7 analysis. But the sensitivity may limit what you can
8 do. If you talk about manganese, we primarily use MRI
9 to detect manganese in the brain. It's not for the
10 bone. For the bone, my colleagues at Purdue are
11 developing a neutron-based device to detect bone
12 manganese. I think it is the future of manganese
13 investigation and risk assessment as I just mentioned.
14 I really believe the neutron based x-ray is the
15 direction for the future.

16 FROM THE AUDIENCE: Thank you.

17 FROM THE AUDIENCE: Okay. I was looking for
18 the page. You made a very brief reference to arsenic
19 affecting the vascular vessels. And I know that it
20 cause angiosarcoma of the liver. Are you saying it
21 causes increased vascular formation or decreased
22 vascular formation, which?

866.488.DEPO

www.CapitalReportingCompany.com

1 DR. ZHENG: That actually is a very special
2 area. I am not the expert in arsenic research but I
3 know that arsenic can cause cancer. So somehow affect
4 the androgenesis process. So I think properly the
5 blood vessel is overproduced.

6 FROM THE AUDIENCE: Overproduced. That's what
7 I would think. Thank you.

8 DR. ZHENG: Yes. Thank you.

9 DR. WAALKES: So we're scheduled for a coffee
10 break at 10, so you have to stay here until 10. No,
11 actually -- actually so come back please, here, at
12 10:30 and we'll reconvene with Max Costa.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

SOCIETY OF TOXICOLOGY

MARCH 10-14, 2013

San Antonio, TX

Course: Toxic Effects of Metals

**Presentation: Mode of Metals Toxicities: Example of
Epigenetics**

P R O C E E D I N G S

DR. WAALKES: Okay. So our next speaker is

Dr. Max Costa. And he's held a number of positions throughout his career. He was at the University of Connecticut School of Medicine. He spent time here in Texas at Texas A&M, and at Texas Medical School and he's currently the professor chair at the Department of Environmental Medicine at New York University School of Medicine. And Max is well published in the areas of genotoxicity of metals. And today he's going to talk about epigenetics.

DR. COSTA: Thank you, and good morning.

Welcome to San Antonio. We've never had an SOT meeting here, so this is the inauguration city. So I think

1 it's going to be good. It's small. You can't help but
2 bump into each other, but anyway --

3 So, I guess one of the main objectives here is
4 to get you to understand a little about the basic
5 epigenetic machinery of the cell, DNA methylation,
6 histone modification, micro RNA and gene expression,
7 and to understand how metals affect this machinery to
8 lead to diseases. Epigenetics is probably involved in
9 every disease, but particularly in cancer and birth
10 defects can be highlighted.

11 So I'm going to use some abbreviations. For
12 histone modifications, I'll say histone H3K9 dime,
13 dimethylation. And what I mean is down here for H3,
14 histone H3, lysine 4 trimethylation, the K is lysine.
15 I'll talk a lot about lysine because it's a site of
16 extensive modification in the histones.

17 So, it's not always bad to review what you
18 heard in the first lecture a little bit and reiterate
19 some of the things that were mentioned. So, metals are
20 transported a lot by similarities. So, iron, you know,
21 binds to transferrin, and transferrin is also involved
22 in the transport of these other metals, and in

866.488.DEPO

www.CapitalReportingCompany.com

1 particular, chromium 3 which looks like iron 3, which
2 is bonded to transferrin. You heard about divalent
3 metal transporter one, which is involved in the
4 transport of iron and manganese, but it also can
5 transport these other toxic metals such as nickel and
6 cobalt. Cobalt is also essential. ZIP2 is involved in
7 zinc transport, but manganese, cadmium and copper can
8 be transported by ZIP2. Phosphate and sulfate mimicry.
9 This is very important for hexavalent chromium,
10 chromate, selenate, molybdate. You heard about organic
11 metal complexes, the methylmercury cystine going in
12 through the methionine transport system. Glutathione
13 can be involved in transport of these metals. Calcium
14 channels, cadmium and nickel can interact with calcium
15 channels and block them.

16 So, in general, if you take an essential metal
17 like calcium, you have these toxic metals that are
18 actually quite toxic because of their interference with
19 this essential metal. So, lead, for example,
20 interferes with calcium. Calcium levels inside the
21 cell are very low, they're micromolar concentrations,
22 and about a thousand fold higher outside the cell, so

866.488.DEPO

www.CapitalReportingCompany.com

1 you don't need much lead inside the cell to interfere
2 with intercellular calcium. Cadmium and other metals
3 interfere with zinc. Nickel is very good at
4 interfering with magnesium, and this is very important
5 in the phosphate backbone of DNA and other
6 magnesium-involving enzymes such as ATP using enzymes
7 the nickel will interfere with magnesium. Iron, cobalt
8 and nickel look just like iron in the periodic table.
9 They're right next to each other. And so it's very
10 easy for cobalt and nickel to affect iron. And copper,
11 zinc, cadmium and molybdenum are known to interact with
12 copper.

13 And metal binding proteins or molecules,
14 metallothioneins we discussed, they are primarily there
15 for copper, zinc homeostasis, but these metals can
16 displace copper and zinc from metallothionein.
17 Metallothionein can also bind these other heavy metals.
18 Glutathione is very important in the detoxification of
19 free radicals, but metals also bind to Glutathione and
20 are involved in -- Glutathione is involved in some
21 metal detoxification.

22 So, a bit of introduction to epigenetics. So,

866.488.DEPO

www.CapitalReportingCompany.com

1 at the level of DNA, you have one modification of DNA
2 called 5-methylcytosine. It's often called the fifth
3 base. And we know now that 5-methylcytosine can be
4 metabolized by the TET protein to hydroxymethyl
5 cytosine and eventually formal and carboxymethyl
6 cytosine. And this is thought to be one way to burn
7 off the methyl group from DNA. And methylation of DNA
8 in the promoter region of genes turns off the gene
9 expression, but methylation of thymidine in the coding
10 region is very important for transcription. So if you
11 have an exon that doesn't have DNA methylation on it,
12 it won't be transcribed. So it's actually the opposite
13 of what you see in promoter regions.

14 So this may be one of the mechanisms for
15 alternate splicing. The exon has to be highly
16 methylated in order to be transcribed. This is thought
17 to be because the RNA polymerase needs to have a
18 compact DNA in order to transcribe the DNA at the right
19 position.

20 So only about one percent of the DNA is
21 expressed in the genome, into RNA. The DNA in all of
22 our tissues is identical. So within my body, all my

866.488.DEPO

www.CapitalReportingCompany.com

1 DNA is identical. My DNA differs from your DNA in
2 single nucleotide polymorphisms, but what really makes
3 my brain and liver what they are is the epigenetic
4 program of gene expression. So it's epigenetics that
5 really makes the tissue what it is. The brain is the
6 brain and the liver is the liver because the
7 epigenetics is the program of gene expression that's
8 expressed from my DNA that makes those organs what they
9 are.

10 So DNA methylation is one of the few
11 modifications that we know that can control gene
12 expression. And in relationship to cancer it's a
13 recessive disease where many tumors suppressor genes
14 are inactivated by DNA methylation of their promoters.
15 And cancer is in fact a recessive disease. You can
16 prove this by fusing a normal cell with a cancer cell,
17 and when you do these kind of experiments, you find out
18 that the resulting cell becomes the normal cell. So in
19 general, cancer cells are missing genetic information,
20 at least by these experiments.

21 So, what is epigenetics? What is the
22 definition? It literally means "above genetics." And

866.488.DEPO

www.CapitalReportingCompany.com

1 it was coined by Conrad Waddington. He had the first
2 -- he was actually the first person to call this
3 epigenetics. So epigenetics is defined as any
4 inheritable influence on gene expression that is not
5 accompanied by a change in the DNA sequence; okay? So
6 a change in the DNA sequence would be a mutation, but a
7 change in gene expression or a change in inherited
8 function of DNA without a change in DNA sequence would
9 be called epigenetics.

10 So Conrad Waddington was a developmental
11 biologist and he was very interested in how cells
12 developed, and so he had this -- those models that he
13 made where he thought about what would happen if a cell
14 migrated in different paths. And then he noticed that
15 as the cell migrated this way, it assumed the -- the
16 gene expression patterns of his neighboring cells here.
17 But if it migrated this way, it assumed the gene
18 expression patterns of the cells located over here. So
19 whatever way the cell went, it would acquire its
20 environmentally-related or nearby gene expression
21 pattern. And he coined this phenomenon during
22 development as epigenetics.

1 So as I said, the five position cytosine is
2 methylated in most eukaryotic cells and all mammalian
3 cells. Yeast cells are an exception. They don't
4 methylate the cytosines. Bacteria methylate adenine,
5 not cytosines, but that has to do with DNA replication
6 and repair. It doesn't have to do with gene
7 expression. So about five percent of all cytosines in
8 DNA are methylated. And then methylation of cytosines
9 in the promoter region turns off gene expression. And
10 remember what I told you about exons, methylation of
11 exons allows them to be transcribed. Exon is the
12 coding region of a gene. It's methylated, it's
13 transcribed. If it's not methylated, it tends not to
14 be transcribed.

15 So this is very new information that's just
16 coming out in the field. Actually they didn't know
17 this at the time I made these slides, so --

18 So DNA methylation patterns are inherited.
19 So, when the DNA replicates, the parental strand is
20 methylated and the daughter strand is not methylated.
21 Following DNA replication, a DNA maintenance
22 methylation enzyme replicates the cytosine methylation

866.488.DEPO

www.CapitalReportingCompany.com

1 pattern of the parental strand onto the daughter
2 strands. And this is called -- this is done by the
3 maintenance methylation DMT1, but DMT1 also can do
4 other things like de novo methylation.

5 Cytosine is found mostly in CpG islands where
6 it's present once in every 15 dinucleotides, but in 98
7 percent of the genome is found once in every 80
8 nucleotides. And again, the source for methylation of
9 cytosine is S-adenosylmethionine which is very
10 important. Particularly you'll hear about it in
11 arsenic metabolism and other -- all methylation
12 reactions involve S-adenosylmethionine.

13 So the enzymes involved in DNA methylation,
14 Dnmt1, first discovered enzyme by Timothy Bester at
15 Columbia was the first to clone this. It's the
16 maintenance methylation enzyme, but it also can do
17 other things. This is not very important. Dnmt3a and
18 3b are the two de novo methylating enzymes. They're
19 required for imprinting. This one is important in
20 imprinting and to keep retrotransposons and other genes
21 that shouldn't be expressed in the cell, they get
22 highly methylated so that they don't move around and

866.488.DEPO

www.CapitalReportingCompany.com

1 they don't get expressed.

2 Now you should know that almost all of the DNA
3 in our body is transcribed, okay? So this is contrary
4 to what you read in books which say, okay, only genes
5 are -- only a small percentage of the DNA is actually
6 transcribed. In fact, all of the DNA is transcribed
7 into not only genes which are a small percentage of the
8 transcription, but non-coding RNAs which include micro
9 RNAs, which interfere with gene expression, and other
10 non-coding RNAs that regulate gene expression. So the
11 methylation of the promoter regions of non-coding RNAs
12 and micro RNAs is another way to stop the transcription
13 of these non-coding entities within our genome.

14 So, very important methylation reaction of
15 S-adenosylmethionine, adding methyl groups to DNA
16 through the enzymes, methyltransferases. And so you
17 always hear about the importance of folate in
18 maintaining your methylation. And of course, that's
19 because of this metabolism here,
20 5-methyltetrahydrofolate donates to the methyl group to
21 make methionine, and then methionine is made into
22 S-adenosylmethionines. So to regenerate

866.488.DEPO

www.CapitalReportingCompany.com

1 S-adenosylmethionine levels, you need folate. You need
2 dietary folate. And it's very hard to get folate.
3 It's just not present in foods. So of course, women
4 who get pregnant, they put them on a folate-rich diet
5 because it's hard to get folate concentrations. In
6 Bangladesh, where people are exposed to high levels of
7 arsenic, there's a lot of studies going on with folate
8 supplementation because methylation of arsenic
9 increases the excretion of the arsenic. So the more
10 you methylate it, the more you can excrete it.

11 So you'll hear a lot of studies about the fact
12 that S-adenosylmethionine can be depleted by arsenic,
13 for example. And certainly chronic exposure can weigh
14 in on S-adenosylmethionine, but the concentration of
15 S-adenosylmethionine in the cell is about 70
16 micromolar, and it has a huge capacity to regenerate
17 itself, whereas the arsenic concentration, if you have
18 anywhere near a tenth to .5 micromolar in the cell,
19 that's very high. So the numbers -- if you do the
20 numbers, you find it very hard to think that
21 S-adenosylmethionine depletion is involved in arsenic
22 toxicity; however, you'll hear a lot of people say

866.488.DEPO

www.CapitalReportingCompany.com

1 this, and I think it's still very much up for
2 discussion.

3 So, what controls DNA methylation? So, we go
4 to the histones now, which are another tier of
5 epigenetics. And the histone tails which protrude out
6 of the nucleosomes are modified by various covalent
7 modifications, such as phosphorylation, methylation,
8 ubiquitination and acetylation, and all of these
9 modifications are important in regulation gene
10 expression.

11 So for example, H3 and H4 lysines are subject
12 to acetylation and methylation. In general, any
13 acetylation will neutralize the positive charge in the
14 lysine and lead to the opening up of the nucleosome and
15 greater transcription in the promoter region of genes.
16 Now again, going to the coding region of genes, it's
17 quite different now.

18 There are epigenetic modifications of histones
19 in the coding region that tend to be silencing
20 modifications. Again, similar to the DNA methylation
21 that's required for the transcription of those genes.
22 So it's a little bit different -- it's actually

866.488.DEPO

www.CapitalReportingCompany.com

1 opposite. When you think of the promoter region, the
2 acetylation opening up chromatin and leading to
3 condensed chromatin, the coding region you have more --
4 the coding region, you have more condensed chromatin
5 and greater transcription.

6 So this is just a picture. These are
7 nucleosomes. You can actually take a picture of these
8 with atomic force microscopy, and you can see that in
9 uncondensed nucleosome, they look like beads on a
10 string. These are individual nucleosomes with the
11 linker region. It's 142 bases of DNA wrapped around a
12 histone octamer. And then a small linker region of DNA
13 between the nucleosomes, so you have this cartoon is
14 depicting what you can actually see when you look at
15 nucleosomes from cells.

16 And the important feature here is that the
17 tails, the end terminal tails of the histone molecules
18 are protruding from the nucleosome. And these tails
19 are subject to covalent modification. And the -- the
20 enzymes that do these modifications are called writers,
21 because they're writing what's called a histone code.
22 So this -- so this is -- this histone code is then read

866.488.DEPO

www.CapitalReportingCompany.com

1 by other proteins that bind to it, that are called
2 readers, and then the enzymes that take off the
3 modifications are of course called erasers. So this
4 whole -- Dr. Alice at Rockefeller was the first to
5 propose this histone code hypothesis which seems to be
6 very true.

7 So, the lysines on the histone tail, and let's
8 just look at H3 here, you can see there's a lysine 9
9 here that's very important. And if this lysine 9
10 becomes acetylated, that's an activating modification
11 in the promoter region of genes. The lysines are also
12 subjected to methylation. They can be monodie or
13 trimethylated. So dimethylated H3 lysine 9 is a
14 silencing mark in the promoter of genes that are in
15 euchromatin. If you get H3K9 trimethylation, that's a
16 mark for heterochromatin, which is basically not
17 expressed. It's highly condensed chromatin.

18 So these modification -- and the reason for
19 this is very simple, that these modifications on the
20 lysine attract other proteins. So for example H3K9
21 trimethylation will attract heterochromatin binding
22 protein one which binds to it and causes the

1 condensation of the nucleosome. So those are the
2 readers and the enzymes that modify them are the
3 writers, and then there are enzymes that take them off
4 that are the erasers. So all this, just to continue
5 the serines in the histone tail are subject to
6 phosphorylation. And the interesting serine here, H3
7 serine 10, and H3 is phosphorylated. This is thought
8 to be a marker only of mitosis. So this happens a lot
9 during the division of cells and the mitotic division
10 of cells. This modification becomes prevalent and all
11 of the H3s become phosphorylated. The phosphorylation
12 of this of course interferes with the neighboring
13 acetylation and methylation of this one. So this is
14 very complicated. And these neighboring effects are
15 very, very important and we're only beginning to
16 understand them. And histones are mono ubiquitinated,
17 and the carboxyline, you have H2A and H2B that are
18 ubiquitinated, and those are also involved in gene
19 expression and other gene activation or gene silencing.

20 And so, if you wanted to depict a nucleosome
21 where the DNA is shown here -- and this nucleosome all
22 the lysines are acetylated. The nucleosome is

866.488.DEPO

www.CapitalReportingCompany.com

1 decondensed, and the DNA has access to transcription
2 factors to bind it to activate gene expression. So
3 this is what happens when you have acetylation of
4 lysines and lack of methylation of H3K9 or H3K27, which
5 are silencing marks.

6 And then in the right, you have a highly-
7 condensed nucleosome where the lysines are not
8 acetylated and the histones are highly condensed with
9 the DNA, and the DNA does not have access to
10 transcription factors so this promoter with this kind
11 of nucleosome configuration will not be expressed. The
12 genes will not be expressed. But you have to imagine
13 now the coding region is more like this being
14 expressed. So it's a very complicated system. And you
15 know this just shows you, you know, a better picture of
16 all of the modifications that we know of. And a lot of
17 us in the field play around with H3, because H3 is very
18 important, because you can see all of the modifications
19 that occur in H3. And H3 is also located in the
20 critical positions within the nucleosome, and so, it's
21 a very important histone for modification, as is H4
22 too, but you can see H3 is actually modified a lot

866.488.DEPO

www.CapitalReportingCompany.com

1 more.

2 Okay so, the real question to ask yourself is,
3 we know how DNA methylation can be inherited, because
4 there's the DNA replicates and there's a maintenance
5 methylation that recognizes a 5-methylcytosine in the
6 parental strand and puts one in the daughter strand.
7 Okay, so it's very simple. It works. And the DNA
8 methylation pattern is replicated, and therefore, a
9 change in DNA methylation is inherited in all
10 subsequent cell generation.

11 However, histone modifications are also known
12 to be inherited. So how does that happen? And this is
13 a model that Dr. Mozaed proposed, which a lot of
14 people now believe in. And so let's just go through
15 this model. So this is the replication of DNA, and the
16 nucleosomes with modifications, the histone
17 modifications are placed in the parental and daughter
18 strands randomly, okay? So here you have the
19 nucleosomes in the parental and then the daughter
20 strand. And what happens is a naive virgin nucleosome
21 is deposited where there's a space for it next to the
22 nucleus -- next to the nucleosome that displays during

866.488.DEPO

www.CapitalReportingCompany.com

1 DNA replication. So here is a new nucleosome with no
2 modification on it. And the hypothesis here is that
3 because of the neighboring modification that occurs,
4 this modification is simply copied in the neighboring
5 nucleosome that's a virgin, okay? So this virgin
6 modification simply assumes the histone modification of
7 its neighbor. And viola, that's how these histone
8 modifications are inherited.

9 So obviously there's more work that needs to
10 be done, but this offers an explanation of how histone
11 modifications can be inherited from one generation to
12 the next.

13 So let's talk about some metals. So, I've
14 been working on nickel compounds since the late '70s
15 trying to study nickel carcinogenesis, the reason being
16 that occupational exposures to nickel in the nickel
17 refining industry occurs a lot. So -- but we don't
18 have any more nickel refineries in the United States
19 because there's been so many litigations and lung
20 cancers and nasal cancers that they've all been closed.
21 However, it's very surprising to me that modern
22 countries such as Canada and England, Siberia Canada,

866.488.DEPO

www.CapitalReportingCompany.com

1 for example -- one of the interesting things you should
2 know, and probably this is going to be something you've
3 never heard of, but all of the nickel that's mined in
4 the world comes from meteors, okay? So, so in Siberia
5 Canada they have a huge amount of nickel deposit there,
6 but it's from a huge meteor that hit the Earth many
7 millions of years ago, and they're just mining the
8 nickel from the meteor. So all the nickel you have is
9 from outer space, not from the Earth. So that's kind
10 of an interesting story.

11 So Canada is considered a modern country, and
12 they refine nickel and they still -- I mean the
13 exposures are less than they used to be, but they're
14 still getting exposed to carcinogenic nickel compounds.
15 And, you know, you have nickel refineries in Wales and
16 in Kristiansand, Norway and Finland. Russia has a city
17 that's actually called Nickel. So if you wonder what
18 they're doing, they're refining nickel, and it's a huge
19 complex in the Kola peninsula way in the north of
20 Russia. And China has a huge nickel refinery too. So
21 the United States doesn't, but a lot of modern European
22 countries still do refine nickel, because it's very

866.488.DEPO

www.CapitalReportingCompany.com

1 important in stainless steel production, building
2 aircraft engines and other things.

3 And one of the major problems with nickel not
4 only is cancer, but is contact dermatitis, which is a
5 lot of people are allergic to nickel. About 20 percent
6 of contact dermatitis is due to nickel allergies.

7 So environmental exposures to nickel come from
8 oil and coal-burning power plants, particularly oil.
9 For some reason, oil has nickel and vanadium in it.
10 And I don't understand -- you know, oil comes from, you
11 know, biological material, they say some of it's
12 dinosaurs, but maybe plants mostly; and why oil has
13 only nickel and vanadium in it to high concentrations
14 is not known. But whenever you burn oil, you always
15 find nickel and vanadium as the marker of oil. Coal
16 has every element in the periodic, all the metals, so
17 you get mercury, cadmium and other metals, but you also
18 get nickel. So nickel is used for enzymes and bacteria
19 in plants, urease, the helicobacter pylori which is the
20 bacteria that causes stomach cancer has a urease that
21 requires nickel for activity, it breaks down uria,
22 forms nitrogen that neutralizes the acid and allows the

866.488.DEPO

www.CapitalReportingCompany.com

1 bacteria to colonize the stomach, bacteria dehydratase,
2 but there is no known function for nickel in mammalian
3 cells.

4 And the real danger with nickel is not the
5 soluble nickel compounds, because in fact if the NTP
6 did a study of nickel subsulfide and soluble nickel,
7 and the soluble nickel did not produce lung cancers,
8 but the nickel subsulfide, the insoluble forms of
9 nickel, which is what the nickel refinery workers are
10 exposed to, this is the map that they use for nickel
11 refining, and the exposure to these is what's causing
12 lung cancer and the very rare nasal cancer, which is
13 prevalent in nickel refinery workers. So nickel
14 refinery workers have a very high incidence of this
15 extremely rare nasal cancer.

16 So, for many years, when I first started
17 working on nickel carcinogenesis, I could never see any
18 mutations that were induced by this chemical. And at
19 that time, the whole carcinogenesis field was dominated
20 by people who were looking for mutation. So I would
21 get a review of my grant and they would say, where's
22 the mutation? And I said to them, it's not there.

866.488.DEPO

www.CapitalReportingCompany.com

1 Sorry. I don't have a mutation to show you. But so
2 then it must not be a carcinogen. But nickel
3 subsulfide is such a good carcinogen compared to
4 anything else, so can you imagine if you put this
5 anywhere in an experimental animal, you get a hundred
6 percent incidence of cancer at the site of
7 administration. So I don't know of anything else -- it
8 doesn't have to be metabolized, and it's very
9 broad-acting, and it can even cause cancer in animal
10 species such as the amphibian that does not get cancer
11 from other carcinogens. So this is an amazing
12 carcinogen to work with. And one of the reasons I was
13 interested in studying it, is it's so simple, there's
14 no metabolism, yet it's so broad-acting and so potent
15 of a carcinogen. And soluble nickel ions, which are
16 generated in the cell after the uptake of these
17 particles are not very toxic. So this is important for
18 its carcinogenic effects because it doesn't kill the
19 cell, but it allows the cells to survive and epigenetic
20 alterations occur inside the cell that can lead to
21 cancer without killing the cell.

22 So this is the model for the lung epithelial

866.488.DEPO

www.CapitalReportingCompany.com

1 cells of the worker or the nasal cells that get exposed
2 to these insoluble nickel compounds. And I call this
3 -- this is like an epithelial cell. So this is not a
4 macrophage. A macrophage is a professional
5 phagocytosis cell; okay? It does it for a living.
6 These cells do not phagocytize normally. They don't
7 want to phagocytize things, but they will phagocytize
8 these crystalline nickel subsulfide particles. So I
9 call them phagotative phagocytes. These are
10 non-professional phagocytosis.

11 And what happens is these crystalline nickel
12 sulfide particles with the negative charge -- if they
13 have a positive charge, they don't go into the cell --
14 but if they have the negative charge, they get
15 phagocytized, they get contained in vacules, and inside
16 the vacule, the nickel dissolves and produces very high
17 concentrations of nickel inside the cell. So this is
18 one of the reasons that you hear particulate air
19 pollution is so bad as well, because the particles can
20 attack one cell and produce very high concentrations of
21 heavy metals and other things into the cell. And
22 again, going back to the soluble nickel, goes in by

866.488.DEPO

www.CapitalReportingCompany.com

1 DMT1, but you cannot reach the kind of concentrations
2 you do with these particles in the cell.

3 So this just gives you an appreciation of the
4 kind of concentrations you can get if a cell eats one
5 of these particles. So 1.45 micro particle will
6 produce a nickel concentration of 250 millimolar if it
7 should totally dissolve. A 4 micro particle will
8 produce 4.75 molar. So you can see that just a small
9 amount of dissolution of these particles inside the
10 cell will give you a tremendous concentration of nickel
11 in the cell, which of course is going to be the reason
12 that these things are so carcinogenic. They're
13 interacting with individual cells.

14 Okay. So, some data on epigenetics. So, if
15 you treat cells -- these are human -- human lung cells.
16 But you can do many different kinds of cells. With
17 nickel you can find that there are changes in H3K9
18 dimethylation. The global levels of this increase, and
19 this is doing a Western blot with specific antibodies
20 to these modifications. And you can see this increases
21 with time and with all the different cell types.

22 And we did not know the reason for this at the

866.488.DEPO

www.CapitalReportingCompany.com

1 time we were doing the studies; however, in 2006, Yi
2 Zhang and Yang Zhu (ph) cloned the first oxidated
3 histone demethylase, which is an enzyme that can
4 actually remove lysine modifications on histone. So
5 previous to this, to 2006, everybody in the epigenetics
6 field said that histone methylation was irreversible.
7 Very famous scientists would go around giving lectures
8 saying it was irreversible; okay? So now there's an
9 enzyme that will attack the H3K9 demethylation. It's a
10 member of the dioxygenase superfamily of enzymes.
11 These enzymes are the only reason you need ascorbic
12 acid in your body. And we have to take it in by our
13 diet. Rats and mice can make this, but we need to take
14 it in from our diet. And ascorbic acid is only used
15 for the dioxygenase enzyme.

16 So these enzymes have iron in an active site,
17 and the iron with alpha ketoglutarate as a co-factor
18 that gets decarboxylated attacks the methyl group here
19 and oxydatively demethylates it to formaldehyde.

20 So for those of you who wonder about
21 formaldehyde, there are tons of formaldehyde that are
22 formed in your nucleus all the time. Thank God for

866.488.DEPO

www.CapitalReportingCompany.com

1 glutathione which is supposed to react with this and
2 get rid of it, but it also attacks protein. So this is
3 a whole nother area. The lysines and proteins get
4 attacked by formaldehyde, and they get formulated. So
5 there's a lot of formaldehyde formed in your nucleus
6 when there's these oxidative demethylations.

7 So this enzyme is exquisitely sensitive to
8 nickel. The binding constant of nickel for the active
9 site of this enzyme is three times higher than iron.
10 Nickel easily displaces iron from the active site of
11 the enzyme, and then activates it. And so that's why
12 you get accumulation of these marks, because the cell
13 cannot take them off. And so we purify this enzyme by
14 expression and baculovirus and insect cells. It's a
15 large molecular weight protein. And so you need to use
16 insect cells to express it. And then we added nickel,
17 and you can see increasing concentrations of nickel
18 inhibit at very low concentration. The IC50 is about
19 20, 25 micromolar. Inhibits the enzyme. And we've
20 done a lot of structural studies using the histone
21 demethylases and also AVH2, which is a DNA demethylase
22 enzyme, to show what happens here is that you have iron

866.488.DEPO

www.CapitalReportingCompany.com

1 in the active site, iron is bound in the active site to
2 two histidines and the carboxylic acid facial triad in
3 what's called a jumonji domain. And then nickel comes
4 in, displaces the iron, and now nickel is there. And
5 when nickel is there, it's hexacoordinated, there's no
6 room for oxygen to bind, the enzyme is inactive, and it
7 cannot take off these demethylation of H3K9 and other
8 marks, H3K4, trimethylation, H3K27, all of these marks
9 require oxidative demethylases, and all of these are
10 inhibited by nickel, as they would be inhibited by low
11 oxygen tension, because the enzyme requires oxygen.
12 They would be affected if you don't have alpha
13 ketoglutarate, which you need from the mitochondria.
14 So there are a lot of -- and if you produce oxidative
15 stress, you oxidize as iron.

16 So these enzymes are subject to many, many
17 environmental (inaudible), oxydative stress, depletion
18 of ascorbic acid, mitochondrial dysfunction, low oxygen
19 tension and exposure to nickel and cobalt, because both
20 nickel and cobalt will easily displace this enzyme from
21 its active site.

22 And so the ultimate experiment to prove this

866.488.DEPO

www.CapitalReportingCompany.com

1 is shown here. And what we did is we took a wild type
2 ABH2, this is a dioxygenase, and made a mutation where
3 we changed one of the histidines to alanine in the
4 active site, and then we expressed the flag-tagged
5 protein in cells, and then added -- after expressing
6 the protein, we added radioactive nickel 63. And the
7 wild-type enzyme bound it where it had no mutation.
8 But if you mutated one of the active iron-binding
9 sites, it lost the binding of nickel in the cell. So
10 this proves that nickel can go in and really displace
11 the iron in an intact cell to inhibit the enzyme.

12 Arsenic compounds, you heard a lot about
13 arsenic, which is not a metal, it's a metalloid. So,
14 anthropogenic sources are shown here. Arsenic is used
15 in the pesticide industry. In fact, you've probably
16 heard about the arsenic that's present in rice now, in
17 particular the sugar from rice that's used in baby
18 food. And you say, "Oh, that happens when the rice is
19 grown in Bangladesh or China." But, no, it's rice
20 grown in the United States that's grown in cotton
21 fields. And cotton fields, they use arsenic as
22 pesticides for the cotton, which was fine, you don't

866.488.DEPO

www.CapitalReportingCompany.com

1 eat it. But now we're growing the rice in the cotton
2 fields, and we're eating rice in America that has
3 arsenic contamination from the pesticides that were
4 used in the cotton field.

5 And then there's a good side of arsenic.
6 Arsenic trioxide is very effective in the treatment of
7 acute premyelocytic leukemia. It induces apoptosis
8 differentiation and completely eradicates this disease.

9 So 150 million people in 70 countries are
10 exposed to arsenic-contaminated drinking water. In
11 Asia, 60 million people, about 35 million in
12 Bangladesh. In the United States, though, it's not a
13 trivial issue. Almost everyone who has their own well
14 in the United States is going to get some arsenic
15 exposure, because it's present pretty much everywhere,
16 and they don't monitor the wells as they do for city
17 water. So there's about 600,000 U.S. residents that
18 are exposed to arsenic in wells at -- above the
19 standards. The United States, the drinking water is
20 ten parts per billion. In Bangladesh, it's still 50
21 parts per billion. So this just shows you that it's
22 present now in any -- any sugar from rice has a lot of

866.488.DEPO

www.CapitalReportingCompany.com

1 arsenic in it.

2 So arsenic is a group one known human
3 carcinogen. Exposure also causes cardiovascular
4 disease, neurological defects, neurodevelopment
5 deficits, diabetes and hypertension. So in addition to
6 being a carcinogen causing lung cancer, bladder cancer,
7 liver cancer, and other kinds of cancer -- in fact, I'm
8 going to tell you about arsenic and chromium 6 are the
9 only two chemical agents that if you drink them, you
10 can get lung cancer, okay? Human studies have shown
11 drinking arsenic and chromium 6 will produce lung
12 cancer. I don't know of any other chemical like that.
13 So that's a very interesting observation about these
14 two metals.

15 So, oxidative stress and epigenetic
16 dysregulation are likely to be involved in arsenic
17 adverse effects. The mechanism of arsenic oxidative
18 stress, there are epigenetic effects, effects on cell
19 proliferation, antioxidant response element, NRF2 and
20 disruption of poly ADP-ribosylation which is disruption
21 of DNA repair. And arsenic is able to induce global
22 changes in histone modifications. It alters DNA

866.488.DEPO

www.CapitalReportingCompany.com

1 methylation patterns and it alters gene expression. So
2 arsenic has a huge effect on the epigenome.

3 And again, you heard a lot about arsenic
4 metabolism. Basically, if you can methylate arsenic,
5 you can get rid of it faster. People who methylate
6 arsenic do much better. So if you give folate, you can
7 methylate it better. If you have genetic polymorphisms
8 in the arsenic methyltransferase, you can get rid of
9 the arsenic better than people who can't methylate it.
10 And this is counterintuitive to every other metal,
11 organic -- any organic metal complex is much more toxic
12 than the non-organic. You can go methylmercury,
13 tetraethyl lead, it doesn't matter. Here, though, it's
14 been mystifying how an organic metal complex can be
15 excreted more rapidly. It probably has to do with
16 arsenic binding to proteins, and when it gets
17 methylated, it doesn't bind to proteins as well. So it
18 gets excreted more rapidly.

19 And a few words about chromium 6, which is
20 also -- this is a mutagen and a carcinogen, but it also
21 -- just because something is a mutagen and a
22 carcinogen -- and believe me, the most potent mutagens

866.488.DEPO

www.CapitalReportingCompany.com

1 and carcinogens are the ones that have the biggest
2 epigenetic effects. So don't get bogged down in this
3 idea that something is either epigenetics or it's
4 genotoxic. If it's genotoxic, it has better epigenetic
5 effects than it has in genotoxicity. And chromium 6 is
6 a good example of that. Chromium is found in welding.
7 Chromium mine tailings. There's the famous Erin
8 Brockovich case, Julia Roberts, you saw her picture.
9 The two forms, chromium 3, is non-toxic. Chromium 6 is
10 toxic. Chromium 3 is really not essential. You should
11 probably call it a nutritional supplement. There's no
12 known essentiality for it. Chromium 6 looks like
13 phosphate. It's been called a trojan horse. It's
14 actively taken up by the place of phosphate, gets
15 everywhere in the body. Basically can cause cancer in
16 many different cells types. Whereas chromium 3 is not
17 taken up, and it's not toxic.

18 So, toxicity of chromium: Contact dermatitis,
19 nosebleeds, liver damage, resembling like phosphate.
20 Cancer, now more and more epidemiological studies are
21 showing that chromium six can cause cancer wherever you
22 get exposed to it. And the mechanism is the uptake of

866.488.DEPO

www.CapitalReportingCompany.com

1 the chromium 6 by the phosphate sulfate transporter.
2 It interacts with DNA, produces DNA protein crosslinks,
3 DNA damage. But it also induces epigenetic effects.
4 It silences many genes such as mismatch repair. Almost
5 all tumors induced by chromium do not have mismatch
6 repair. And so it induces epigenetic silencing. And
7 you can see by studies that it increases these histone
8 marks similar to nickel, but by a different mechanism.
9 Chromium 6 depletes ascorbic acid, because ascorbic
10 acid is required to reduce chromium 6 to chromium 3.
11 And the loss of ascorbic acid inhibits the dioxygenases
12 which requires ascorbic acid for activity.

13 So, simple conclusion. The extent of the
14 epigenetic effects of metals is only starting to be
15 realized. And there's much more work to be done. And
16 so this is a very important area where more and more
17 research needs to be done. It's a relatively new area.
18 And a lot of people who are getting into it are finding
19 rather amazing effects of the most potent mutagenic
20 genotoxic agent being the most potent epigenetic agent.
21 So I thank you for your time.

22 DR. WAALKES: We have time for one quick

866.488.DEPO

www.CapitalReportingCompany.com

1 question. We can do this after. Will you be able
2 to --

3 DR. COSTA: Sure.

4 DR. WAALKES: Afterwards, too? And before
5 we -- any questions? So Max will be available for
6 after.

7

8

9

10

11

12

13

14

15

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

SOCIETY OF TOXICOLOGY

MARCH 10-14, 2013

San Antonio, TX

Course: Toxic Effects of Metals

**Presentation: Metals in Carcinogenesis and
Developmental Origins of Adult Disorders**

Speaker: Dr. Erik Tokar

P R O C E E D I N G S

Dr. Hughes: So our next speaker is Erik Tokar. And Erik spent some time -- or went to the graduate program at Michigan State University. And then he went to the National Institute of Environmental Health Sciences Laboratory to do a postdoctoral fellowship with Mike Waalkes, and now he's continuing on as he's currently a biologist there in that same group, and actually they switched the National Toxicology Program. Erik is going to talk about metals and cancer today. Erik.

1 DR. TOKAR: Okay. Thanks, Mike. Thank you
2 for being here, everybody. As Mike said, we'll be
3 discussing metals and carcinogenesis and the
4 developmental origins of adult disorders.

5 So you see my abbreviations that you'll see
6 throughout the talk. Quickly, to go over the outline,
7 the intro, I'll go over the multistep process of
8 carcinogenesis which is very important during cancer
9 formation. And I'll mention some of the hallmarks of
10 cancer that are acquired during this process.

11 I'll talk about metals as carcinogens, their
12 properties, why they are important, what makes them
13 unique. We'll get into the carcinogenic metals, how
14 they're defined. Focus mainly on the known human
15 carcinogens, but I'll also mention some probable and
16 possible human carcinogens. I'll discuss some
17 mechanisms, and then we'll jump into stem cells. I
18 don't think you can have a cancer talk without talking
19 about stem cells, and also since we're talking about
20 developmental origins of adult disorders, I think stem
21 cells are very important in this process too.

22 Then we'll get into the developmental origins

866.488.DEPO

www.CapitalReportingCompany.com

1 of adult disorders, the Barker hypothesis which sort of
2 started and brought attention to this whole field. And
3 I'll discuss some examples of cancer and other
4 disorders in rodents and humans and possible role of
5 stem cells in this.

6 So carcinogenesis, as I said, is a multistep
7 process. It starts with the initiation step. This is
8 generally a mutation that usually inactivates or it's a
9 mutation in a growth regulatory gene, usually a tumor
10 suppressor or an oncogene, this leads to a rapidly
11 proliferating cell type, and you get some abnormal
12 growth.

13 Next step is promotion. You have further
14 mutations. This leads to an actively proliferating
15 tumor cell population, not necessarily malignant at
16 this point, but it is now considered a tumor
17 population; and further mutations in things such as DNA
18 repair genes, more oncogenes, more tumor suppressors.
19 Then it's in the progression stage. And then the
20 critical steps are dissemination, invasion and
21 metastasis. These are very critical as they are
22 defining characteristics of malignant tumors that lead

866.488.DEPO

www.CapitalReportingCompany.com

1 to most of the deaths from cancer.

2 So during this process, cells acquire many
3 characteristics. And in 2000 Hanahan and Weinberg
4 published their famous paper in Cell, defining the
5 classic biological properties of malignant cells, which
6 they termed the hallmarks of cancer. And you can see
7 them all listed here. Many of them have to do with
8 growth regulation, resisting cell death, cells become
9 resistant to apoptosis, and all the others you see
10 running down from about 12:00 down to about 5:00 there,
11 those all have to do with some sort of regulation of
12 cell growth or dysregulation of cell growth.

13 Already talked about invasion and metastasis
14 and their importance in this. Induction of
15 angiogenesis. This really feeds the tumor beyond what
16 tissues would get through passive diffusion of
17 nutrients. And important ones here are tumor-promoting
18 inflammation and genome instability and mutation. They
19 call these "enabling characteristics", and a lot of
20 people think that the completion of all these other
21 steps would be very, very rare without these two
22 characteristics, particularly the genome instability

866.488.DEPO

www.CapitalReportingCompany.com

1 and mutation.

2 The networks of the hallmarks will differ from
3 cell to cell, tissue to tissue. You won't have the
4 same hallmarks in every tissue. Every tissue won't
5 have all of these. The timing will differ too. It
6 just depends on the cell type, the tissue, and even the
7 micro environment will definitely play a role.

8 So metals as carcinogens, the problems and
9 importance. You've seen several of the other speakers
10 go over this, so I won't go into very much detail, but
11 just to emphasize the importance of these in metals
12 toxicology. Three-fourths of all elements are metals
13 or metalloids. They're ubiquitous in the environment.
14 There's wide use and distribution. Anthropogenic
15 sources, a large number of industrial processes,
16 natural activities such as the arsenic in the drinking
17 water. Persistence in the environment - can be neither
18 created nor destroyed, forms change, kind of moving
19 around throughout the biosphere. They're only
20 sparingly recycled once used. More on their
21 persistence is they're nonbiodegradable, very long
22 half-lives for many of them. You can see with cadmium

866.488.DEPO

www.CapitalReportingCompany.com

1 it can be several decades; lead, several months. And
2 these long half-lives can lead to the accumulation over
3 an entire lifetime which really makes their potential
4 as a human carcinogen of particular concern. And there
5 are also several known, probable, or suspected human
6 metallic carcinogens which we'll get to in a second.

7 The uniqueness of metals as carcinogens - very
8 highly diverse group of agents, generally do not
9 require metabolic activation. Often times the ionic
10 form is already active and it will serve as the
11 carcinogen. They're often highly tissue-specific, high
12 concentrations at the target site. Susceptibility
13 factors may be different from tissue-to-tissue and so
14 on.

15 We've talked about essential metals. Some of
16 these are also carcinogenic. Chromium, I would agree
17 with Dr. Costa, this may not be an essential metal, but
18 there are some who believe it is, so we'll put that in
19 quotes. Chromium 3 is essential. Chromium 6 is highly
20 carcinogenic. Similar with nickel. Some say it's
21 essential. Can also form respiratory tract tumors; and
22 iron is a possible liver carcinogen and is associated

866.488.DEPO

www.CapitalReportingCompany.com

1 with colorectal cancer.

2 On the other hand, some carcinogenic metals
3 are also very effective chemotherapeutics. Dr. Costa
4 mentioned arsenic trioxide. There's also platinum in
5 the form of cisplatin. And there are studies that show
6 cadmium can also have anticancer effects. We'll get
7 into those three examples in a little bit, with a
8 little bit more detail.

9 So what are the metals that are carcinogenic
10 to humans? There are different regulatory agencies
11 that sort of determine this for us. In the United
12 States, there's the NTP, the National Toxicology
13 Program, which puts out their report on carcinogens.
14 The EPA, FDA and NIOSH. And then there's the
15 International Agency for Research on Cancer, or IARC,
16 and they put out their monographs.

17 For this talk, we're going to focus mainly on
18 IARC's definitions, as well as that of NTP.
19 Definitions and their criteria are very, very similar;
20 and you'll see that most of the known human carcinogens
21 are basically the same between the two agencies, as
22 well as the possible or probable carcinogens,

1 reasonably anticipated to be carcinogens.

2 So IARC's definitions, they put them into
3 different groups. Group one is the cariogenic to
4 humans, known human carcinogens, and you see all of
5 those listed here. Arsenic, beryllium, cadmium,
6 chromium 6, nickel, and their respective compounds.
7 You also have group 2A, which are probably carcinogenic
8 - lead, cisplatin, indium phosphide. And group 2B is
9 possibly carcinogenic -- this is cobalt and iron.

10 The RoC definitions. You've got this in front
11 of you. I won't read through all of the criteria, but
12 you see the known human carcinogens -- It's essentially
13 same as IARC.

14 The reasonably anticipated to be human
15 carcinogens, you see the criteria here and then the
16 bottom line you can see lead, cisplatin, indium
17 phosphide and cobalt. Basically the same ones as IARC
18 considered possible or probable human carcinogens.

19 The chronology next. The very first report of
20 a metal as carcinogen was made in 1880 by a physician
21 named Hutchinson. He noticed skin tumor formation in
22 people who were going through arsenic therapy for skin

866.488.DEPO

www.CapitalReportingCompany.com

1 disorders. So that was about 130 years ago. 60, 70
2 years later we had our first epidemiology studies. And
3 then about 50 or 60 years further in the '90s and
4 2000s, we had our first good animal studies. It's kind
5 of unusual to have such a long time between good human
6 data and rodent data, and this may be due to the time
7 of exposure of the rodents to arsenic.

8 A little earlier -- in the '30s we had
9 chromium and nickel. These were the first two
10 recognized by IARC as human carcinogens. And about 30,
11 40 years later we had all the good evidence.
12 Beryllium, first reports in 1946. Cadmium and lead,
13 it's a little bit later in the '60s. And then the good
14 evidence in humans and rodents in the early of '80s.

15 So the known human carcinogens, and these are
16 just listed in alphabetical order.

17 Arsenic and its compounds, it's a known human
18 carcinogen by both the regulatory agencies, IARC and
19 NTP. It's number one on the Agency for Toxic
20 Substances and Disease Registry Substance Priority
21 List. That's probably why you see so much work being
22 done on arsenic. Over 100 million people exposed

866.488.DEPO

www.CapitalReportingCompany.com

1 worldwide. Lots of target sites in humans. The
2 definite sites are skin, lung, and urinary bladder;
3 possible sites are liver, kidney, and prostate.
4 Several routes of exposure, both environmentally,
5 occupationally, a lot of studies over the past several
6 years with transplacental exposure. Multiple
7 carcinogenic species. Multiple mechanisms. As I
8 mentioned, it's a very effective chemical therapeutic,
9 mainly arsenic trioxide with acute promyelocytic
10 leukemia. It appears that at least one of the
11 mechanisms, perhaps the main mechanism, is that the
12 arsenic trioxide can target and basically eradicate the
13 leukemic stem cells in the acute promyelocytic
14 leukemia, and that essentially cures the disease.

15 Beryllium and its compounds, again a known
16 human carcinogen by both agencies; number 43 on the
17 priority list. One exposure problem with beryllium is
18 a long residence time, greater at least 20 years, at
19 the target, in this case lung; environmental and
20 occupational routes of exposure, target sites
21 definitely in the human lung; osteosarcomas in rabbits;
22 and again, several mechanisms. You'll notice a lot of

866.488.DEPO

www.CapitalReportingCompany.com

1 these mechanisms between all of these metals are
2 shared. There's a lot of common mechanisms between all
3 of these metals.

4 Cadmium and its compounds are considered known
5 human carcinogen by both agencies, number seven on the
6 list. A big problem with cadmium as I mentioned
7 earlier, very long half-life, making carcinogenic
8 potential of particular concern because of accumulation
9 throughout the years. Several routes of exposure, many
10 are the same as with all the other metals. Target
11 sites - definitely in the lung in humans, a lot of
12 possible sites in humans as well; and again, several
13 different mechanisms.

14 Chromium 6 compounds. It's one of the first
15 two classified by IARC, as I mentioned the other being
16 nickel. Routes of exposure are very similar to other
17 metals. Target sites are the lung. And as Dr. Costa
18 mentioned, there's a lot of new data coming out that
19 possibly all tissues could be targeted. Many
20 mechanisms involved. I don't have epigenetic
21 mechanisms here, but as Dr. Costa mentioned, it
22 definitely has epigenetic mechanisms.

1 Nickel and its compounds. This one is a
2 little different. It's the nickel compounds that are
3 known human carcinogens, as defined by IARC and RoC.
4 Metallic nickel is only a possible by IARC, reasonably
5 anticipated by RoC. Routes of exposures, again very
6 similar with other metals; occupational, environmental
7 and in this case transplacental. This is one case
8 where the ionic form is the likely cariogenic species;
9 a few different target sites and many different
10 mechanisms.

11 Inorganic lead, this is a probable human
12 carcinogen. And, again, very similar routes of
13 exposure with other metals, both occupationally and
14 environmentally. Another one similar to nickel, it's
15 the ionic species that is the probable active
16 carcinogen. Several target sites. And, again, several
17 mechanisms.

18 Cisplatin, another probable carcinogen.
19 Routes of exposure, a little different in this case,
20 the medicinal and occupational with medical treatments,
21 the drug administrations, is how people are exposed to
22 cisplatin. A lot of target sites in rodents. In

1 humans there's only inadequate evidence based on
2 concurrent therapies. And this is another one that's a
3 highly effective chemotherapeutic, sometimes called the
4 penicillin of cancer treatment. And I forgot to
5 mention that cadmium is another that's been shown to
6 have anticancer effects in rodents. From my poster on
7 Tuesday afternoon in the stem cell session, you can see
8 some mechanisms that we are coming up with as to why
9 cadmium may have these anticancer effects. It appears
10 to be very similar to the arsenic trioxide with the
11 targeting of the stem cells and the cancer stem cells.
12 So if you're interested, Tuesday afternoon, I'll be
13 glad to talk to you about my poster.

14 Indium phosphide, another probable human
15 carcinogen. Again, routes of exposure are very similar
16 to the other metals. Occupational, environmental
17 exposures, target sites mainly in rodents in this case.

18 And then the possible carcinogens, cobalt and
19 iron. You see the rankings or the groups, the
20 definitions by each of the agencies here and why
21 they've defined them in that way.

22 There are several factors that modify metals

866.488.DEPO

www.CapitalReportingCompany.com

1 carcinogenesis. Metal-to-metal interactions is a very
2 important one. Some of this has been discussed in the
3 previous talks. But just to reiterate, just to show
4 you the importance of these effects, several metals,
5 zinc, calcium, magnesium can inhibit, prevent or even
6 antagonize metal-induced tumorigenicity, just by
7 reducing metal uptake, inhibiting the effects on DNA,
8 attenuating the toxic effects of the metal.

9 Metallothionein synthesis appears to be a
10 zinc-related mechanism. Metallothionein will bind the
11 toxic metal, cadmium, for instance, and attenuate those
12 toxic effects. And iron tends to enhance
13 nickel-induced carcinogenesis.

14 An example of essential metal deficiency in
15 enhancing tumorigenesis, is dietary zinc deficiency and
16 cadmium-induced tumors in rat testes. You'll see in
17 the middle blue-shaded row, these are zinc-deficient
18 rats and rats with adequate zinc levels not treated
19 with cadmium, and essentially the same levels of
20 adenoma or hyperplasia formation. However, you see a
21 much higher incidence of adenomas in the zinc-deficient
22 rats treated with cadmium. And while this is an

866.488.DEPO

www.CapitalReportingCompany.com

1 adenoma, not a malignant tumor, a lot of times these
2 will progress to a malignant adenocarcinoma,
3 particularly if cadmium treatment was continued, which
4 would greatly increase that chance.

5 Other mechanisms, many of them are metal,
6 tissue or cell-type specific. As I mentioned, you see
7 many common mechanisms are shared between the different
8 metals. Most of them involve DNA damage repair, a lot
9 of epigenetic effects. There are a lot of adaptive
10 mechanisms. This involves changes in the uptake or the
11 efflux or excretion of metals from the cells. A lot of
12 times the cells will acquire a tolerance to the metal.
13 They'll become apoptosis-resistant to that metal. Also
14 enhanced metal efflux will help remove the metal from
15 the cells. Metal sequestration, sequestered lead and
16 metallothionein with cadmium. Oxidated stress response
17 involving several factors, NRF2, hemoxygenase,
18 superoxide dismutases and so on. But many times this
19 acquired adaptation, it sounds good, but it may
20 actually facilitate cancer formation. As an example
21 here on the right involves several of these mechanisms.
22 You see the parental cell line, the human prostate cell

866.488.DEPO

www.CapitalReportingCompany.com

1 line mature heterogenous population in the black bars,
2 and the isogenic stem cells that were isolated from
3 this cell line, both treated with sodium arsenite. You
4 can see a much higher LC50 in these stem cells.

5 So the stem cells -- very common with
6 toxicants -- are much more resistant compared to their
7 mature, more differentiated counterparts. So this is a
8 kind of cell-type mechanism.

9 After only about six weeks of chronic
10 exposure, both cell lines you can see did adapt.
11 LC50's increased, about doubled in the parental cell
12 line. However, that didn't increase anywhere near as
13 much as it did in the stem cell lines. So both cell
14 lines adapt, but the stem cells appear to hyper-adapt
15 to arsenic. And a few more weeks of chronic exposure,
16 both of these cell lines do become malignantly
17 transformed. These stem cells are transformed in
18 approximately half the time it takes to transform the
19 parental cell line. So even though they're hyper-
20 adaptable, they may become transformed much, much
21 quicker. So this acquired adaptation is not always
22 beneficial.

1 Mimicry, you've heard a couple of the other
2 speakers talk about this. Again, I'll reiterate just
3 to emphasize its importance. It's a very key mechanism
4 in the toxicity of metals. It allows the metals to
5 gain access into the cells and disrupt critical cell
6 functions, metabolism, cell signaling functions. It's
7 usually at the transport level, sort of fools the cell
8 into thinking it's the essential metal, gets inside the
9 cell and it exerts its toxic effects.

10 There are several examples. Cadmium, copper,
11 and nickel mimic or replace zinc; thallium mimics
12 potassium; magnesium mimics iron; and arsenate and
13 vandate mimic phosphate. Lifestyle factors are other
14 things that modify metal carcinogenesis. This really
15 isn't a mechanism per se, but I wanted to include it.
16 Factors like smoking, alcohol consumption, and diet
17 nutrition play a major role in metals carcinogenesis in
18 humans.

19 Strain and species differences is another
20 factor that modifies metal carcinogenesis. Here is
21 cadmium carcinogenesis in rodents. Injection site
22 sarcoma on the top row in rats, two different species,

866.488.DEPO

www.CapitalReportingCompany.com

1 F34 a little over 60 percent tumor incidence, whereas
2 the other strain only about a ten percent incidence.
3 Lymphomas in two different mice strains, NFS at almost
4 a 70 percent incidence, whereas the BALB/c mice, only
5 21 percent incidence. And you'll notice in the legend,
6 the bottom line here, the control lymphomas in the
7 BALB/c mice was also 21 percent. So that sort of wipes
8 out the 21 percent incidence in the cadmium-treated
9 mice. That makes the difference between the strains
10 that much greater.

11 Genetic variability is another factor that
12 modifies metals carcinogenesis. You've seen several
13 examples already with arsenic and the metabolism of
14 arsenic. Major players in this are polymorphisms in
15 the AS3MT and also in the GST omega 1. Differences in
16 DNA repair genes play a big role in arsenic-induced
17 skin cancer, bladder cancer. Cadmium and
18 metallothionein levels, and there's also effects on
19 non-cancer diseases. This is not just all cancer. I'm
20 focusing on cancer, but a lot of other non-cancer
21 diseases where genetic variability plays a big role.
22 You see several examples listed here.

866.488.DEPO

www.CapitalReportingCompany.com

1 Metallothionein is a very important player in
2 metals toxicity. Human expression is highly variable.
3 In some tissues between different populations, there
4 can be up to or even greater than 100 fold differences
5 in blood levels. Very key factor in metal homeostasis
6 and detoxification, high affinity for metals.
7 Deficiency can predispose to metal toxicity or cancer
8 by several metals, and there are a lot of studies on
9 MT-null mice, showing the null mice are much more
10 susceptible to cancer induced by several different
11 metals compared to the wild type mice.

12 Another factor is the route of exposure. The
13 portal of entry is often the organ most affected. As
14 you saw in a lot of the metal slides, talking about
15 routes of exposure, the big one is inhalation. This is
16 the most important the occupational route. In most
17 cases with inhalation you'll get metal particles in the
18 lung space and wind-up developing lung cancer. Tobacco
19 smoke obviously is a big one that contains arsenic,
20 cadmium, nickel and lead.

21 Ingestion of foods and liquids, you heard
22 several times about the arsenic in the drinking water.

866.488.DEPO

www.CapitalReportingCompany.com

1 Dr. Costa mentioned the arsenic in the rice, just two
2 very good examples for routes of exposure with
3 ingestion. And dermal exposure, it's a little more
4 unusual than the other ones, but it does occur.

5 Life stage during exposure. Young subjects
6 are often more sensitive. There are a lot of studies
7 coming out the past decade or so of transplacental
8 carcinogenesis. A lot of times
9 transplacentally-exposed rodents show much, much higher
10 incidences of cancer formation later in life, long
11 after the exposure has ended. Early life exposure:
12 there are some very good studies by Alan Smith's group
13 on a population in Antofagasta, Chile. Also with lead
14 compounds, greater risk in young versus adults. And
15 whole life exposure it appears -- and I'll go over
16 examples of all of these in a bit -- but it appears
17 that transplacental exposure dictates -- or may dictate
18 the target site whereas exposure during other periods
19 will promote or even enhance the carcinogenesis.

20 So as I mentioned earlier, you can't really,
21 in my opinion, have a talk about cancer without talking
22 about stem cells, without talking about cancer stem

866.488.DEPO

www.CapitalReportingCompany.com

1 cells, and this will bring us to the cancer stem cell
2 hypothesis. So just to define each of these, very
3 simple definitions in this case, a stem cell is a cell
4 that can regenerate all cell types of any tissue if
5 it's an embryonic stem cell or all cell types of a
6 given tissue if it's a progenitor cell or adult stem
7 cell. Cancer stem cells share pretty much all the
8 characteristics with a normal stem cell. Only a cancer
9 stem cell obviously can transfer or form tumors upon
10 transplantation into a recipient animal. And the
11 cancer stem cell hypothesis basically states that
12 cancer stem cells are derived from the malignant
13 transformation of normal stem cells or their close,
14 partially differentiated progenitor cells, to become
15 cancer stem cells, that then drive the carcinogenic
16 process.

17 So stem cells and metals carcinogenesis, I
18 will focus on arsenic and cadmium, mainly on arsenic.
19 Most of the studies have been done in arsenic so far,
20 but there's more coming out pretty much every week.

21 Stem cells appear to be much more resistant to
22 arsenic. As you saw in the slide earlier, they're also

866.488.DEPO

www.CapitalReportingCompany.com

1 hyper-adaptable. This is very common with many other
2 toxicants, not just arsenic. Stem cells also seem to
3 be much, much more resistant compared to their mature
4 differentiated counterparts. It appears to disrupt the
5 normal differentiation, the arsenic exposure. You see
6 in the top here, these are human stem cells exposed to
7 arsenic for 9 weeks up to 18 weeks. And looking at P63
8 protein levels, P63 is a very good -- these are human
9 prostate stem cells. P63 is a very good marker of
10 human prostate stem cells.

11 So you can see at 9 weeks, almost a depletion
12 of the P63, but by 18 weeks when these cells were
13 malignantly transformed, this P63 expression is back up
14 to control levels. So there's some sort of aberrant
15 differentiation being caused by arsenic.

16 The arsenic also appears to lead to the
17 formation or overproduction of cancer stem cells. This
18 is in comparison with other carcinogens. That's the
19 example here on the bottom. You have the RWPE-1 cell
20 line. This is the non-tumorigenic human prostate
21 epithelial cell line. So we're using this as our
22 control. It's been malignantly transformed by MNU,

866.488.DEPO

www.CapitalReportingCompany.com

1 cadmium and arsenic. So these are all isogenic cell
2 lines. And you can see only the arsenic caused an
3 increase in cancer stem cell formation as measured by
4 the formation of the spheres which we call
5 prostaspheres. Very common characteristic of stem cell
6 lines and cancer cell lines, they form these spheres
7 which are just free-floating clusters of viable cells
8 that are generally highly enriched in stem cells or
9 cancer stem cells. So the larger number of spheres,
10 obviously the larger number of, in this case, cancer
11 stem cells. And we've seen similar results in
12 prostate, skin and kidney with arsenic exposure on stem
13 cells. Cadmium in stem cells appears to be a little
14 different. Seems to inhibit proliferation and
15 self-renewal. Cells can acquire stem-like
16 characteristics during chronic exposure, which was
17 shown in pancreas cells. A lot of stem cell markers
18 show rather large increases after chronic exposure and
19 when these cells appear to be transformed. However,
20 the sensitivity to cadmium may depend on the stage of
21 differentiation; and again, going back, I mentioned my
22 poster, if you go to my poster, you'll see good

866.488.DEPO

www.CapitalReportingCompany.com

1 examples of this and some possible mechanisms involved.
2 It appears that the more undifferentiated the cells
3 are, the more sensitive they are to cadmium.

4 So now jumping into the developmental origins
5 of adult disorders. This all started with this guy up
6 here, that's David Barker. In about 1989, he noticed a
7 very strong association between low birth weight and a
8 greater risk for coronary heart disease later in life.
9 He's published over 400 papers on this topic. He's
10 seen similar results from all of these disorders listed
11 on the bottom. So I think it was in 1995 the British
12 Medical Journal named his hypothesis, what he's called
13 fetal programming, they renamed it to the Barker
14 hypothesis, and it's also referred to, a lot of times,
15 as the fetal origins of disease or the fetal origins of
16 adult disease. And even Time Magazine two or three
17 years ago called this "the new science." And you don't
18 have this Time Magazine cover in your guide for
19 copyright reasons, I guess.

20 So, some good examples of developmental
21 origins of adult disorders, again focusing on cancer in
22 this case. These are in humans. Early life exposure

866.488.DEPO

www.CapitalReportingCompany.com

1 of a population in Antofagasta, Chile, I mentioned with
2 Alan Smith's group, they found that these early life
3 exposures greatly increase cancer mortality rates in
4 those born around the time of the peak exposure. This
5 was in liver, lung and renal cancers. And they just
6 published a very good review article on all of these
7 epidemiological studies they have done. Came out maybe
8 a month or two ago. So if you're interested in these
9 studies, I would suggest reading that review article.

10 Another good example is infants in Okayama,
11 Japan, they were exposed to -- in 1955, exposed to
12 arsenic contaminated milk powder. And those that were
13 exposed to this contaminated milk powder, later in life
14 showed greatly increased rates of leukemia, as well as
15 skin, liver and pancreatic cancers, and greatly
16 increased mortality rates from the Leukemia and the
17 pancreatic cancers.

18 Some examples in rodents. This is a
19 transplacental arsenic exposure I was talking about
20 earlier. The transplacental exposure from gestation
21 day 8 to 18. These lead to cancers much, much later in
22 life. It can also predispose to other carcinogens and

866.488.DEPO

www.CapitalReportingCompany.com

1 lead to a cancer stem cell overabundance in the
2 arsenic-induced tumors in several of these. I think I
3 got the wrong slide up there again. The cancer is much
4 later in life, in the bladder, skin, lung, liver, and
5 kidney. It's important to point those out, because
6 those are also the target sites in humans exposed to
7 arsenic. Some very good tissue-tumor concordance
8 between these transplacental rodent studies and human
9 exposure.

10 As far as predisposing to other carcinogens,
11 you'll see the example in the immunohisto chemistry on
12 the top. The label is not here, but it's in your
13 guide. These -- this is on the left, this is the
14 arsenic plus TPA, exposed mice. You see a much, much
15 higher number of CD34 positive skin cancer stem cells.
16 CD34 is a very good marker for skin stem cells and
17 cancer stem cells. And this is in comparison to the
18 tumors formed in the TPA alone mice.

19 Early life exposure. I mentioned that with
20 the lead compound is a greater risk in the young versus
21 adults. And whole life exposure, the transplacental
22 may dictate target site while other periods promote.

866.488.DEPO

www.CapitalReportingCompany.com

1 This example is here on the bottom. The fetal only or
2 the transplacental exposures in the black bars, whole
3 life exposure in the gray. You can see all the same
4 tissues, but the whole life compared to the
5 transplacental only, you see much, much higher
6 incidence levels, and in most cases, the tumors were
7 much more aggressive in the whole life exposed mice.
8 And the cancer stem cell overabundance that you see in
9 the images to the right, the two control tumors in
10 liver and lung, top and bottom, spontaneous tumors,
11 these are stained with aldehyde dehydrogenase 1A. It's
12 a very common cancer stem cell marker for both of these
13 tissues. And compared to the control spontaneous
14 tumors, you see much, much higher levels in the
15 arsenic-induced tumors.

16 And again, it's not just cancer. There's a
17 lot of data out, coming out, on developmental origins
18 of other disorders. Arsenic and bronchiectasis, early
19 onset atherosclerosis, deficient lung function;
20 cadmium, lower childhood intelligence, mental
21 retardation, decreased birth weight; lead in the HPA
22 axis dysfunction which is associated with obesity,

866.488.DEPO

www.CapitalReportingCompany.com

1 diabetes, hypertension. Smoking during pregnancy, this
2 is another big one that leads to impaired fertility,
3 obesity, hypertension, neural behavioral deficits.
4 It's a little trickier with smoking just because
5 there's a lot of different metals in cigarette smoke,
6 so, you don't really know if it's the effect of one of
7 these metals causing all of these or a combination of
8 the metals causing all of them. It's a little trickier
9 with the smoking.

10 So what is the role of stem cells in the
11 developmental origins? Well, stem cells are most
12 active and abundant during development. All of these
13 processes, organogenesis, proliferative growth, cell
14 differentiation, they all are intimately involved with
15 stem cells. Stem cells play a key role in all of
16 these. And a very good example of more stem cells at
17 exposure leading to more later-life cancers is the
18 A-Bomb survivors and breast cancer. Women exposed to
19 the A-Bomb radiation had a much higher incidence level
20 of breast cancer compared to those not exposed. And
21 the woman exposed during late adolescence, the time
22 when breast stem cells are most abundant, showed by far

866.488.DEPO

www.CapitalReportingCompany.com

1 the highest incidence of breast cancer. So it's a good
2 example of more stem cells at exposure, leading to more
3 later-life cancers.

4 And it's the long-lived nature of the stem
5 cells, their ability to remain quiescent until
6 activated or promoted, their ability to maintain or
7 retain the capacity for self-renewal and the capacity
8 for differentiation. It's thought that this is the
9 reason early life is often sensitive to chemical
10 carcinogenesis. It's hitting the stem cells early on.
11 And then later on in life they lead to cancer
12 formation.

13 Do stem cells play similar roles in the other
14 disorders? I believe it's reasonable to suspect that
15 they do. I really can't think of any other cell type
16 that's going to be around in the fetal stage all the
17 way up to 20, 30, 40 years later during disease
18 manifestation. But this sort of is just my opinion, I
19 think it definitely requires further research.

20 So to conclude very quickly, almost out of
21 time, metals are very complex. Carcinogenesis is very
22 complex. So you put these together, metals and

866.488.DEPO

www.CapitalReportingCompany.com

1 carcinogenesis is very, very complex. Many challenges.
2 Metals are very highly unique. Many of them are
3 carcinogens. You saw the different mechanisms
4 involved, not just in my talk, but all the talks today,
5 and there's definitely many factors that can modify the
6 carcinogenicity of metals. Developmental origins of
7 adult disorders, this is definitely, in my opinion
8 again I guess, a very important emerging field that
9 warrants further research. Early life exposures
10 definitely play a role in metals carcinogenesis. We've
11 seen examples in humans and rodents in this talk. And
12 stem cells appear to be involved, at least with some of
13 the metals in cancer. The involvement, again, with
14 stem cells with the other disorders definitely needs
15 more research. And I believe that's it. Yep. Thank
16 you.

17 DR. HUGHES: So are there any questions for
18 Dr. Tokar?

19 FROM THE AUDIENCE: Hi. You had mentioned the
20 importance of the micro environment. I think Minam
21 Bassel's (ph) work has shown that DNA mutation
22 suggested at least that that might not be sufficient

866.488.DEPO

www.CapitalReportingCompany.com

1 for cancer development, that the cellular shape and
2 adhesion is important as well. And I think that
3 divalent cations are essential for endocrine function.
4 So I'm interested to know if any work has been done to
5 look at the impacts of metals and cell adhesion and
6 cell shape that might progress for cancer development
7 in addition to the DNA mechanisms?

8 DR. TOKAR: I don't know off the top of my
9 head. I'm sure there are studies. I can't think of
10 any off the top of my head, but I'm sure there are
11 studies looking at that. Sorry, I don't have any
12 examples.

13 FROM THE AUDIENCE: Fair enough. Thanks.

14 DR. TOKAR: Yeah, maybe another cheap plug
15 from our group, from Mike Waalkes' group, is one of the
16 SOT post-doc papers of the year by Yuanyuan Xu, she
17 showed a very good example of -- of microenvironment
18 effects on the transformation of cells. She used co-
19 culture method to show how malignantly transformed
20 cells without any contact -- non-contiguously, I guess,
21 can transform normal stem cells into a cancer
22 phenotype. And one of the factors showed a lot of

866.488.DEPO

www.CapitalReportingCompany.com

1 epithelial-mesenchymal transition factors. Vimentin
2 went way up, E-cadherin goes down.

3 FROM THE AUDIENCE: It's my understanding that
4 the transcription factor NTF zinc transcription factor
5 binds zinc and sort of drives transcription of
6 metallothionein, which as you mentioned, can sort of
7 sequester toxic metals like cadmium.

8 Cadmium actually has a higher affinity for
9 that transcription factor and can also drive that same
10 transcription activation. And so, I was just wondering
11 what the mechanism was or, you may or may not know the
12 answer to this, or suggested mechanism of the decreased
13 zinc levels predisposing to carcinogenesis with
14 cadmium. Because as I said, you would think if, you
15 know, cadmium also drove the reaction and upregulated
16 metallothionein that would help, you know, reduce the
17 levels of cadmium. And if zinc wasn't there actually
18 there would be even more for it to bind to the cadmium.

19 DR. TOKAR: Yeah, I don't know the exact
20 mechanism. We had some evidence of mechanisms on my
21 poster where the zinc transporters are -- during
22 cadmium exposure, they're going way up. And we think

866.488.DEPO

www.CapitalReportingCompany.com

1 that ones that efflux the zinc, it appears that the
2 zinc is being effluxed out of the cells. Cadmium is
3 coming in a lot more. MT levels stay about the same.
4 So, getting rid of the zinc, taking in more cadmium,
5 that might be at least part of the mechanism, but I
6 don't know the exact.

7 FROM THE AUDIENCE: All right. Thanks.

8 DR. HUGHES: It's noon. So the speakers will
9 be up here for a few minutes if you have any other
10 questions for them, and I thank you for attending. And
11 I'd like to thank my fellow speakers for preparing the
12 talks today. I learned a lot. I hope you did, too.
13 And thank you again.

14 (Whereupon, the presentation was concluded.)

15

16

17

18

19

20

21

22